

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

U.S. Department of Labor
Occupational Safety and Health Administration

Advisory Committee on
Construction Safety and Health (ACCSH)
December 5-6, 2013

Transcript of Day Two of Two

1:03 to 4 p.m.

Wednesday, December 6, 2013

U.S. Department of Labor
Francis Perkins Building, Room C-5515
200 Constitution Avenue, N.W.
Washington, D.C. 20210

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

	C O N T E N T S	
		PAGE
1		
2		
3	Opening Remarks/Agenda Overview	3
4	Directorate of Construction Update on	
5	Rulemaking Projects	13
6	Directorate of Standards and Guidance Update	
7	on the Globally Harmonized System of	
8	Classification and Labeling of Chemicals	
9	(GHS) Program	40
10	Presentation on the Draft Proposed Standard	
11	on Occupational Exposure to Beryllium	86
12	Chair Remarks/Public Comments	97
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 **Opening Remarks/Agenda Overview**

3 MR. STAFFORD: Good afternoon, everyone.

4 Damon? Where is Damon?

5 [No audible response.]

6 MR. STAFFORD: Veneta, do you know if we
7 have everybody on the phone?

8 MR. BONNEAU: The others are coming. He
9 only had two at this point in time.

10 MR. STAFFORD: Okay. Are we good? Have
11 them on the phone? Four of them? Okay. Well,
12 that's enough for a quorum then, so we'll go
13 ahead and get started. I appreciate it.

14 Welcome, everyone, to the Advisory
15 Committee on Construction Safety and Health, Day
16 Number Two. We are going to do the same routine
17 as we did yesterday in terms of going around and
18 introducing ourselves and then getting right into
19 our agenda, but we'll come back to that in a
20 minute.

21 The printed agenda is, typically, we
22 start our meetings by hearing from the Director

1 of the Directorate of Construction to summarize
2 what we've been doing, and the DOC since the last
3 meeting, we kind of changed that agenda a little
4 bit this time around, but off the gate early,
5 we'll have Jim Maddux step up.

6 But first, it's my pleasure to introduce
7 David Michaels, who we all know David has a very
8 busy schedule, so we just wanted to take a minute
9 to welcome and acknowledge David and thank him
10 very much for coming down.

11 DR. MICHAELS: Well, thank you so much,
12 Pete.

13 I really just came up to greet you. I
14 know you talked to Jordan Barab yesterday, and
15 Jim Maddux, Dean McKenzie, and our whole staff
16 who work with you have been here, and I know it's
17 been a very productive meeting so far.

18 This is a particularly productive
19 advisory committee, and we're grateful for that,
20 so I really just came up to acknowledge that, to
21 thank all of you, but in particular, I want to
22 thank Matt Gillen.

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 [Applause.]

2 DR. MICHAELS: I am told while he is not
3 his -- leaving NIOSH is not imminent, this will
4 be his last meeting of ACCSH, and so I want to
5 come up here, and I'm sure everyone is going to
6 join me. But I have had the great honor and
7 pleasure of knowing Matt for well over 30 years
8 now, when he was in New York and one time working
9 for OSHA when we first met, and I followed his
10 career and the great work he's done moving
11 through a couple of federal agencies and working
12 at the EPA and at NIOSH. And his work in
13 construction really is fabulous.

14 The impact he has had on this committee
15 on how we think about health issues in
16 construction, in the private sector, but also in
17 the public sector have been very significant.
18 You may not know this, but he's also made a
19 significant contribution to the Federal Advisory
20 Council on Occupational Safety and Health. So
21 I've gotten to see him in two different
22 committees where he's really done great work.

1 I know that NIOSH's activities around
2 construction, health issues, have been greatly --
3 have benefitted from you.

4 In fact, the first thing I did upon --
5 when I arrived at OSHA was an activity that you
6 helped put together on green jobs in
7 construction. It was I think 2 days after I was
8 sworn in, and it was my first public appearance.
9 I have really enjoyed working with you and
10 benefited greatly from your work, your research,
11 your advice, your wisdom, and I think we will
12 miss you tremendously. We hope you will continue
13 to work in this field and help us.

14 MR. GILLEN: Thanks a lot, Dave. That's
15 very nice. I really appreciate that, and thanks
16 a lot for all the wonderful leadership you've had
17 in OSHA. There's just so many great activities
18 that OSHA is doing, and you really have been
19 really a wonderful leader, and there's just been
20 a lot of fantastic things done.

21 DR. MICHAELS: Well, thanks, and of
22 course, we can't give much, but we do have a

1 Certificate of our Appreciation.

2 MR. GILLEN: Well, thank you.

3 DR. MICHAELS: This is for Matt.

4 MR. GILLEN: Thanks a lot.

5 [Applause.]

6 DR. MICHAELS: So I'm going to leave you
7 now to much less interesting things that you're
8 doing, so again thank you all so much.

9 [Laughter.]

10 MR. STAFFORD: Thank you, Dr. Michaels.

11 Well, let's go around quickly and do
12 introductions real quick, mostly for the benefit
13 of our reporter here today. Again, what we'll do
14 is we'll go around the table and introduce the
15 ACCSH members that are here. Then we'll
16 introduce the ACCSH members that are on the
17 phone, will introduce themselves, and then we'll
18 go around the room.

19 I will ask Lisa to remind me again, but
20 right now, out of the gate, I would like to
21 remind everyone if you want to make public
22 comment, we set aside time at the end of the

1 meeting for that. So please, if you would like
2 to address the committee, sign the sign-in sheet
3 in the back.

4 So, with that, my name is Pete Stafford.
5 I'm an Employee Representative and Chairman of
6 ACCSH, and we'll start with my right and go
7 around the table.

8 MR. CANNON: Kevin Cannon, Employer Rep,
9 the Associated General Contractors of America.

10 MR. MARRERO: Tom Marrero, Employer Rep
11 with Tradesmen International.

12 MS. SADRICK: Hi. Laurie Shadrick,
13 Employee Rep, with United Association of Plumbers
14 and Pipefitters.

15 MR. RIVERA: Jerry Rivera, Employee Rep,
16 Power Design.

17 MR. STRIBLING: Good afternoon. Chuck
18 Stribling, State Plan Representative, Kentucky
19 Labor Cabinet.

20 MR. GILLEN: Matt Gillen, NIOSH rep.

21 MR. JONES: Walter Jones, Employee Rep,
22 Laborers' Health and Safety Fund.

1 MR. PRATT: Don Pratt, Employer Rep,
2 representing NAHB.

3 MS. COYNE: Sarah Coyne, Employee Rep,
4 International Union of Painters and Allied
5 Trades.

6 MR. MCKENZIE: Dean McKenzie, OSHA
7 Designated Federal Official.

8 MS. WILSON: Lisa Wilson, ACCSH Counsel.

9 MR. STAFFORD: Okay, thank you.

10 And for those of you on the phone, please
11 introduce yourself.

12 MR. BETHANCOURT: Jeremy Bethancourt,
13 Public Representative.

14 MS. BARBER: Kristi Barber, Employer
15 Representative.

16 MR. HAWKINS: Steve Hawkins, State Plan
17 Representative, Tennessee OSHA.

18 MR. STAFFORD: Thanks, Steve.

19 Is Tish on?

20 [No audible response.]

21 MR. STAFFORD: How about Roger Erickson?

22 ATTENDEE: I don't think he's going to

1 make it.

2 MR. STAFFORD: Roger is not going to make
3 it. Okay. Well, it sounds like -- again, we
4 have a quorum, but it sounds like that's the
5 committee members on the telephone.

6 Okay. Rod, again, second day, we'll
7 start with you.

8 MR. WEBER: Rod Weber, PDNA Building
9 Group, Las Vegas, Nevada.

10 MR. MADDUX: Jim Maddux with the OSHA
11 Directorate of Construction.

12 MR. TRUJILLO: Frank Trujillo, Miller and
13 Long Concrete and Construction.

14 MR. KENNEDY: George Kennedy, National
15 Utility Contractors.

16 MR. CREASAP: Wayne Creasap, the
17 Association of Union Constructors.

18 MR. MASARICK: John Masarick, Independent
19 Electrical Contractors.

20 MR. BOLON: Paul Bolan, OSHA Directorate
21 of Construction.

22 MR. MENON: Gopal Menon, OSHA Directorate

1 of Construction.

2 MR. ECKSTINE: Matthew Eckstine, NCCCO,
3 National Commission for the Certification of
4 Crane Operators.

5 MS. REYNOLDS: Mary Reynolds, Directorate
6 of Enforcement Programs, OSHA.

7 MR. LEONARD: Kenneth Leonard,
8 Directorate of Enforcement Programs, Office of
9 Health Enforcement.

10 MR. MATUGA: Rob Matuga, National
11 Association of Home Builders.

12 MR. HARVEY: Chuck Harvey, Directorate of
13 Construction.

14 MR. BOOM: Jim Boom, Directorate of
15 Construction.

16 MR. WILLIAMS: Chris Williams, Associated
17 Builders and Contractors.

18 MR. BEIRSNER: Bob Biersner, Solicitor's
19 Office, Department of Labor.

20 MR. COLE: Chris Cole, Inside OSHA.

21 MR. O'CONNOR: Tom O'Connor, representing
22 the AWCI.

1 MS. BRIEFEL: Ashley Briefel, Directorate
2 of Construction.

3 MR. MELLON: Paul Mellon, Novetas
4 Solutions. We manufacture New Age Blast Media,
5 crushed glass abrasives.

6 MR. WRIGHTSON: Keith Wrightson, Public
7 Citizen. That's an organization.

8 [Laughter.]

9 MR. STAFFORD: Thank you for that
10 clarification, Keith.

11 MR. MAURER: Roy Maurer, HR News.

12 MR. PAYNE: Michael Payne, OSHA
13 Directorate of Construction.

14 MR. SHAPIRO: Michael Shapiro, Newport
15 News Daily Press.

16 MR. SCHUMACHER: Randy Schumacher,
17 Materion Corporation.

18 MR. ROLFSEN: I'm Bruce Rolfsen,
19 Bloomberg, BNA Occupational Safety and Health
20 reporter.

21 MS. BERKOWITZ: Debbie Berkowitz, Senior
22 Policy Advisor, OSHA.

1 MS. CHATMAN: Veneta Chatman, Directorate
2 of Construction.

3 MR. BONNEAU: Damon Bonneau, Directorate
4 of Construction.

5 MR. STAFFORD: Thank you, everyone.

6 Dean or Lisa, any remarks or
7 announcements before we move on?

8 MR. BONNEAU: That's good.

9 MR. STAFFORD: We're good to go?
10 Okay. Jim, let's start with you.

11 MR. MADDUX: Okay.

12 MR. STAFFORD: Everyone knows Jim Maddux.
13 I'm sorry. For a formal introduction, Jim is the
14 Director of the Directorate of Construction here
15 at OSHA.

16 Thank you, Jim.

17 **Directorate of Construction Update on**
18 **Rulemaking Projects**

19 MR. MADDUX: So thanks again, everybody.
20 I feel a little bit flat following Dr. Michael's
21 rousing thank-you to Matt, but I'll try and
22 follow it as well as I can.

1 So I did want to start today talking a
2 little bit about the work that the committee did
3 yesterday on the 2-hour Intro to OSHA section,
4 and I really wanted to congratulate the committee
5 for really engaging with this issue. I think
6 everybody really did their homework. Everybody
7 contributed. It really was a great team effort.

8 And I just wanted to kind of reiterate
9 how important this is. There are so many workers
10 across this country, construction and otherwise,
11 who are not even aware that they have OSHA
12 rights, and so every opportunity that we have to
13 work on that is a good one.

14 Of course, we have the requirement for
15 the OSHA poster that tells people about their
16 rights, and we have a lot of information on our
17 website. We put information into each and every
18 one of our publications to try and inform
19 workers, but this program, the 10- and 30-hour
20 courses last year reached 700,000 workers. So
21 this really is an amazing opportunity to give
22 people valuable information that they probably

1 would never get in that kind of detail in any
2 other place. So thank you for what you've done
3 there. I know that was really a difficult job,
4 and thank you for staying with it.

5 So I'm going to talk just a little bit
6 about some committee business, a few stats. Of
7 course, you know I always have to have a few
8 numbers, or I'm not alive. A little standards
9 work, cranes, outreach, and finish up with the
10 Fall Prevention Campaign.

11 So at the request of the committee, we
12 have been -- of course, we do a lot of work on
13 the Internet, and one of the requests of the
14 committee was that we start putting work group
15 minutes on the Internet, on our ACCSH website,
16 and we have begun to do that. So we have posted
17 the work group minutes for a couple of meetings
18 on the Internet now, and we will continue to kind
19 of work our way back and continue to add those
20 minutes and flesh that out as time goes on.

21 We are also now -- I'm sure people are
22 very aware we have six positions on the committee

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 that will be expiring next year, and so we have
2 asked the public for nominees to fill those
3 positions. We do have a few nomination letters
4 that have been coming in, but I think that that
5 goes until the end of the month or more, so
6 there's still plenty of time.

7 Of course, I wanted to welcome Lisa as
8 our new counsel. Apparently, we weren't too
9 rough yesterday. She came back for a second
10 round, so thank you, Lisa.

11 And of course, to thank Sarah Shortall
12 for all of the work that she did on this
13 committee for many, many years. She really has
14 been a stalwart and has helped a lot of people on
15 this committee, past and present.

16 So a little bit of stats. The fatality
17 statistics for construction, we saw this huge
18 decline in fatalities during the recession, and
19 now we're seeing an increase as construction work
20 comes back. So this has been something that I've
21 been sort of not looking forward to, and that
22 I've been trying to really talk up that we really

1 need to make safety improvements now because, as
2 construction increases in volume and there are
3 more new workers coming into the industry and
4 more new employers, new businesses that are being
5 formed to do construction work, there is a lot
6 going on.

7 Kind of the distressing part about this
8 is not only did the number of fatalities go up --
9 and these are preliminary numbers from BLS, so
10 that number will go up even more when the final
11 numbers come in, but that the rate went up, and
12 so that means that compared to 2011, workers were
13 being killed at a much higher pace. Now, if you
14 look at the entire 6-year span, it's probably in
15 the midrange, but I think we still have a lot to
16 do here, and this is the time to do it.

17 Thanks, Damon.

18 Focus Four. Falls are still the big
19 issue. So we had an additional -- what is it? --
20 about 14 fall fatalities last year, and I believe
21 actually that the rate of fall fatalities also
22 went up.

1 Next slide, please.

2 This is a little bit of an eye chart. I
3 apologize for that, but we were just taking a
4 look. We've been talking a look. The BLS data
5 on injury and illness rates came out just, I
6 think, 2 weeks ago, so we haven't had a chance to
7 do a whole lot with it, but we did this one sort
8 of simple analysis.

9 The thing that is very interesting here
10 -- and I'd be really interested in maybe a year
11 from now to see if it sticks -- is these framing
12 contractors, almost dropped in half in a 1-year
13 period, and this is a pretty good size sample
14 that BLS does within these industry
15 classifications. I have no reason to believe
16 it's a bad number, so we'll see. That could be a
17 huge, huge improvement. The rest of them seem to
18 be fairly stable, a little bit of a drop in
19 roofing contractors. As we have seen over the
20 years, the injury data don't always track the
21 fatality data, which has been a little bit of a
22 mystery, I think.

1 Next slide, please.

2 So a little bit on the standards front.
3 Confined spaces, we're continuing to make good
4 progress on that. We are still trying to work
5 through a couple of issues on the economic
6 analysis, especially on benefits, and that should
7 be ready to go into clearance in the next month
8 or so.

9 Next slide, please.

10 Backovers, we are also continuing to make
11 progress. We have got a little bit of work going
12 on to try and get kind of the industry profile
13 going to get started on the economic analysis. I
14 think I've showed you before we have this webpage
15 that we put up on backovers that I think actually
16 may be the best webpage out there in terms of
17 talking about all of the technologies and rules,
18 everything that deals with these horrible
19 problems of backovers. And interestingly enough,
20 even though the construction numbers went up in
21 total, the backover fatalities last year actually
22 went down. We only have 2 years' worth of data

1 on backovers. It's the first couple of years
2 that BLS has tracked this particular stat, so
3 that's about all we know.

4 Next slide, please.

5 A little bit of an update on cranes.
6 Obviously, this is a photograph of the crane
7 tip-over at the National Cathedral 2 years ago.
8 We do continue to see a number of crane
9 incidents, both tip-overs -- we still see a few
10 electrical line incidents with cranes, so we seem
11 to be making progress, but there is still work to
12 be done.

13 We just issued citations to an employer
14 who was operating a crane on a barge without the
15 proper safety equipment, who tipped over the
16 entire crane and took out a couple of very
17 expensive boats, pleasure craft, with it. So the
18 incidents with cranes are dramatic, to say the
19 least.

20 Next slide, please Damon.

21 So a little bit about Final Rule update.
22 We got this year the Final Rule for underground

1 and demolition, which allowed us to do away with
2 the Subpart District standard, which was the old
3 crane standard, which was being reserved just for
4 those two industries, so that's nice cleanup.

5 We completed the digger derrick
6 exemption, which completed the obligations under
7 our settlement agreement with EEI, who had sued
8 us. We do still have settlement talks that are
9 going on with the railways, and so that may
10 result in a future rulemaking. And we have these
11 cranes and derricks amendments that the committee
12 has been working on. So now that the committee
13 has consulted with us on all of those provisions,
14 Paul and his staff are working through the
15 write-up and the analysis, so that we can start
16 moving forward on that, which would be a very
17 nice rulemaking because it will help clean up
18 especially these issues with forklift coverage,
19 with insulating links, and with the proximity
20 alarms. And of course, we've got the operator
21 certification, which I've got a slide on, a
22 couple of slides down.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 Next slide, please. Maybe one slide
2 down.

3 So this has been a fairly big issue, the
4 biggest issue certainly following up on the crane
5 rulemaking, and we held early this year some
6 public meetings to discuss the issue of whether
7 or not operator certification was sufficient in
8 the OSHA standards to ensure that crane operators
9 are trained and qualified to operate the crane
10 safely.

11 We are working on a proposal that would
12 extend our current situation for 3 years. So it
13 would move out the date for operator
14 certification by 3 years. It would also move out
15 the existing requirement for 3 years, which is,
16 of course, this last bullet point, and so
17 employers are right now required to ensure that
18 crane operators are competent to operate the
19 crane safely, and then if they're not competent,
20 the employer must provide training to make sure
21 that they are. So that 3-year extension, I think
22 will come out in the next 2 or 3 weeks. It's

1 getting very close to getting through the
2 clearance process, and we're looking forward to
3 taking comment on that certainly before the next
4 ACCSH meeting.

5 Next slide, please.

6 Our crane director, we are making some
7 great progress on that. It's actually just been
8 passed off last week from the staff, people that
9 are working on it, the staff attorney and our
10 staffer, Garvin, in the Directorate of
11 Construction, to me, and to supervisory attorney.
12 When we're through with it, then we will be ready
13 to move that into kind of the clearance process
14 with Dr. Michaels and forward. So it's actually,
15 I think, possible before the next ACCSH meeting
16 or not too long after that, that we'll have the
17 crane directive out, which would be wonderful.

18 Next slide, please.

19 Communication towers, talked a little bit
20 about this last time. We had a couple more
21 fatalities since then, so we're up to 14
22 fatalities on communication towers this year.

1 Last year was one, so this is a very serious kind
2 of a spike. This is more than the last 2 or 3
3 years combined, and we are very, very concerned
4 about this. We have been talking to the tower
5 erection community as much as we can. Most of
6 these fatalities are simply people at elevation
7 who are not tied off. We've had a couple other
8 incidents, one of them where we actually had a
9 gen pole that collapsed and fell that was on a
10 communication tower, an aerial life tip-over, and
11 a motor vehicle incident.

12 So we have sent out a memo to our
13 regional administrators a couple of weeks ago.
14 It's out now on the Internet asking our field
15 folks to really keep an eye out for this kind of
16 work, and trying to improve some of our
17 documentation in our inspection computer system,
18 to make sure that we're doing a good job of
19 keeping track of these incidents and that we know
20 what's going on, but it's a real concern. We
21 believe that a lot of this is due to this whole
22 4G cell phone upgrade that's going on with the

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 telephone companies, and that is requiring both
2 new towers and for new antenna to be placed on
3 existing towers. So there's been a big influx in
4 work, big influx of new workers, a lot of new
5 companies that are coming into being to do this
6 kind of work, so we're continuing to go on that,
7 and you will hear more about that as we issue
8 more products and so forth.

9 Next slide, please.

10 This is our new webpage on construction
11 incidents that I announced to you at the last
12 meeting. I just wanted to let you know that we
13 have continued to populate this website, and we
14 now have 40 engineering reports that are up and
15 on there. I think that as part of our
16 communication towers effort, we are going to kind
17 of prioritize our various reports that we've had
18 on communication tower collapses and make sure
19 that we've got those on there as another resource
20 for that industry to use, but it's coming along
21 very nicely. We're probably about halfway
22 through getting the entire library of reports up,

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 so good progress.

2 Next slide, please.

3 Demolition. Demolition, of course, has
4 been a big safety problem in the construction
5 industry for probably forever. It's dangerous,
6 and this was just really highlighted so much by
7 the collapse earlier this year in Philadelphia
8 that resulted in a wall falling over on top of
9 the Salvation Army store that happened to be next
10 door, killing 6 people and injuring 11, one woman
11 who lost both of her legs, who was a customer in
12 the store.

13 So we're going to try and do a little bit
14 more I think on demolition and kind of a little
15 bit of a mini outreach program to -- number one,
16 we'll be sort of refreshing our website, bringing
17 that up to date, making sure that it's solid,
18 maybe some guidance products, maybe try to do
19 something with the organizations that represent
20 cities and counties to try and get the word out,
21 especially to city building inspectors and so
22 forth, about demolition and the OSHA

1 requirements, and that if they see some
2 demolition that's not being done properly, that
3 they can give us a call.

4 In this particular case, this building
5 was being brought down with absolutely terrible
6 procedure. They were not working from the top
7 down. They had removed a lot of the interior
8 bracing in the building, apparently so that they
9 could resell some of the lumber that was inside
10 of this building that was fairly valuable.
11 100-year-old 2-by-8s have become a commodity,
12 apparently, when they were still actually 2-by-8,
13 I think. So the practices here violated not only
14 OSHA standards, but just any sort of a reasonable
15 way of demolishing a four-story building. So
16 this, you're going to be hearing more about this.
17 We are definitely concerned and trying to do
18 something to improve safety in the demolition
19 industry.

20 Next slide, please.

21 So the Fall Prevention Campaign, of
22 course, I think everybody has been pretty

1 involved with that. Over the last year or two,
2 we feel like we've been very successful at
3 getting a lot of eyeballs on this a lot of Web
4 traffic and so forth. I think that we've handed
5 out our various publications and so forth in
6 hardcopy, somewhere around 300,000 pieces of
7 literature. We've had over a half-a-million page
8 views on our webpages for the campaign and
9 climbing. So it has been an enormously popular
10 campaign.

11 Next slide, please.

12 So aside from the publication and
13 Internet activity, we've actually had actual live
14 activities, our regional and area offices, almost
15 3,000 outreach activities, and the consultation
16 programs, almost 6,000 activities. So it's
17 really been a huge, huge effort that has had a
18 coordinated approach from a lot of different
19 groups in the occupational safety and health
20 area. Trade associations. I know AGC, ABC, the
21 Home Builders have all put out things in their
22 newsletters and so forth and tried to really do a

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 lot to talk about fall protection and the
2 campaign, which we really appreciate.

3 So we have decided that we are going to
4 do the campaign for another year next year. So
5 it's kind of been going one year at a time,
6 deciding each year, depending on how the funding
7 is going, which is very exciting right now, of
8 course, and the priorities of the agency, but we
9 have decided that we are going to do Fall
10 Prevention for another year in 2014.

11 Next slide, please, Damon. Did you
12 freeze on me?

13 MR. BONNEAU: It froze. It froze.

14 [Laughter.]

15 MR. MADDUX: I love technology.

16 SO one of the things that our field
17 offices did last year, several regions did, that
18 many of you may have heard about is that they did
19 what they called "safety stand-downs" for fall
20 protection. So I think that we had five
21 different regions that did these stand-downs, and
22 so the idea is that they would get for a 2- or

1 3-day period or something like that, get an
2 employer or a group to stop work for a few hours
3 and just talk about fall protection, about
4 whatever program they have, about their
5 technology. They could make sure that all of
6 their gear was good and that sort of thing.

7 Those regional stand-downs received
8 participation by more than 2,000 employers,
9 reaching 50,000 workers. So that sort of got our
10 attention that this was apparently a very popular
11 activity in a way that could get the word out.

12 So we are in the planning stages right
13 now of doing a national fall prevention
14 stand-down next year. So we'll probably be
15 reaching out to individual groups and stuff to
16 try and coordinate up, because I think this is
17 something that will require a tremendous amount
18 of coordination with all of the people that have
19 been participating in this, but I think that it
20 could be a real way to make a really big splash
21 kind of event for the Fall Prevention Campaign.

22 So those are my remarks for today. I'd

1 be happy to answer any questions.

2 Yes, Don.

3 MR. PRATT: Jim, I'd like to first of all
4 -- and for anybody else in the room, I'd like to
5 offer you an invitation again to our
6 International Builders Show from the National
7 Association of Home Builders. One of the things
8 that we are going to concentrate on this year is
9 the Focus Four.

10 As you have seen with your own two eyes,
11 there's several thousands of people.

12 MR. MADDUX: Yes, there are.

13 MR. PRATT: Hopefully, we are going to be
14 reaching 100,000 this year. I don't know if
15 we're going to reach that much.

16 MR. MADDUX: Wow!

17 MR. PRATT: But things are better in our
18 industry, so we're hoping to be able to reach
19 that.

20 But we are going to go out into the
21 Exhibit Hall where all of the exhibitors are
22 participating and have -- concentrating on the

1 Focus Four. There will be some kind of contrast
2 where our members can go around the floor and get
3 points, and then there will be some kind of prize
4 at the end of the show or maybe even every day.
5 We haven't worked out all those details yet, but
6 this was something that was thought about,
7 actually by my wife Lynne, whom you've met.

8 MR. MADDUX: Yes.

9 MR. PRATT: And we have followed up on
10 it. We did some of it last year, and we're going
11 to do it in a bigger way this year. So I
12 encourage anybody from ACCSH or from OSHA if they
13 could come out, and especially you, Jim. We
14 would like to have you out again, and we will
15 take you around the Exhibit Hall ourselves. We
16 personally invite you to attend that show.

17 So you don't have to tell me now, but
18 look at your calendar and see if it's available.

19 MR. MADDUX: I appreciate the invitation,
20 and I'll certainly try to --

21 MR. PRATT: It's going to be the 1st of
22 February.

1 MR. MADDUX: I will try to take a look at
2 my calendar and see if I can go.

3 MR. PRATT: As you know, we have been
4 doing a lot in our industry to try to bring
5 awareness to the forefront, and as we all know,
6 residential construction is lacking across the
7 country and especially in certain areas like my
8 area in Michigan, and we need to do more for
9 awareness. So this is what -- we're trying to
10 reach out and touch these folks and at least
11 bring it to their attention that they need to
12 protect their workers and also protect their
13 wallets, because as we all know, when we protect
14 the workers, we are protecting our profit margin.
15 And this is what I try to explain in my teachings
16 to the owners of a lot of the small and
17 moderate-size builders. So again, the invitation
18 stands.

19 MR. MADDUX: You make a good point. My
20 understanding is that 2013, that homebuilding is
21 up almost 25 percent?

22 MR. PRATT: Yeah, I would say it's pretty

1 close to that.

2 MR. MADDUX: And I've seen several
3 predictions calling for an increase to similar to
4 that next year.

5 MR. PRATT: Right. And as that happens,
6 as you mention, Jim --

7 MR. MADDUX: That's a huge growth.

8 MR. PRATT: -- there is going to be more
9 and more injuries out in the field, and we need
10 to address it.

11 MR. MADDUX: Well, thank you, Don.

12 MR. PRATT: You're welcome.

13 MR. STAFFORD: Any other questions or
14 comments?

15 MR. JONES: I just have one.

16 MR. STAFFORD: Yeah, go ahead, Walter.

17 MR. MADDUX: Yes, Walter

18 MR. JONES: You mentioned earlier that
19 the fatality is driving -- fall fatalities are
20 driving the numbers of increase fatalities that
21 we've seen over last year.

22 MR. MADDUX: No. I think that actually

1 the fall fatalities are probably about the same
2 proportion.

3 MR. JONES: Oh, okay. All right. I
4 wasn't clear.

5 MR. MADDUX: Yeah. I think that they're
6 somewhere around 33, 34 percent for the last 2
7 years.

8 MR. STAFFORD: Any other questions or
9 comments?

10 [No audible response.]

11 MR. STAFFORD: Well, let me -- before we
12 let Jim go, first, I want to reiterate what Jim
13 said about the work on the Intro to OSHA module.
14 I mean, from my perspective, we've done a
15 yeoman's job of our duty as ACCSH. A year ago,
16 we made a recommendation on a policy change for
17 OSHA and for the last year or so with the work
18 group, and I want to thank Jerry and Kevin and
19 Roger specifically, but all of the committee and
20 a lot of you in this room that went through this
21 exercise with us yesterday and have commented in
22 previous public meetings, that we've now made the

1 policy recommendation and given OSHA some
2 suggestions on what they may do with that. And
3 now it's in OSHA's hands. I think we've turned
4 it over to the staff to work on, and we hope
5 that's helpful to you, Jim, and Hank Payne's
6 office and the second floor and moving it
7 forward, so we really do appreciate you listening
8 to us on that, because I think I can look around
9 this room and around this table, I certainly
10 think it's something we all believe was needed
11 and something important for our industry.

12 MR. MADDUX: Yeah. Well, I think as part
13 of the exercise -- I didn't sit down on the whole
14 session yesterday, but where I was here, it seems
15 that not only were there some suggestions that
16 probably can tighten up the material and make it
17 more concise, but also some things that simply
18 improve it, where there were some good catches
19 about some things.

20 I think Matt's recommendation, for
21 example, on the fatality reporting, that it
22 should include illnesses, which are probably

1 acute illnesses, of course, and not long-term
2 stuff, but, you know, those are things that will
3 improve the training as well as hopefully result
4 in a slightly more concise package.

5 MR. STAFFORD: Okay. So then we're going
6 to wrap that up. I think Kevin has sent a copy
7 of the document that was revised last night after
8 our meeting yesterday and our business with ACCSH
9 with respect to the Intro to OSHA has concluded.
10 Again, I am going to thank you for your
11 responsiveness to that.

12 You know, Jim, later on we're going to
13 have one last thing. We're going to have a
14 discussion of our work groups. You and I have
15 talked about this individually, but we have to
16 realign our work groups I think based on where
17 we're at. Of course, we all know that this is
18 the committee that really was designed by
19 Congress to advise the agency on regulation and
20 policy, and we have over the last couple of years
21 taken on activity short of regulations, like
22 development of websites, guidance documents,

1 because of the -- I don't want to say the
2 shortage of regulation, but we have more work
3 that we could do beyond the regulations that are
4 coming out of the other end of the pipe.

5 I spoke to Chuck, who is the co-lead of
6 the backing operations work group, and I know
7 Chuck talked to Steve Hawkins. Your mention of
8 the backing operations and the data, I'm assuming
9 that as we get into this discussion about work
10 groups, I'm struggling with whether OSHA wants to
11 move forward in the kind of regulatory capacity
12 on this issue, or is this maybe a work group,
13 when we get into that discussion, that we should
14 think about, setting aside for the time being.

15 MR. MADDUX: Yeah. No, I'm actually
16 really looking forward to that conversation. I
17 think that we have reached sort of a little bit
18 of a crossroads.

19 The committee has worked really hard for
20 the last, I would say, four, five meetings.
21 We've been going really hard on some regulatory
22 issues through each of the SIPs items, through

1 each of the items that we have in the crane
2 amendment, you know, making sure that we're doing
3 a good job of consulting through all of those
4 issues, and so we find ourselves -- for example,
5 at this meeting, we spent a lot of time on this
6 Intro to OSHA, which was valuable, but a lot of
7 our work, we've kind of worn out, which is a good
8 thing.

9 At the same time, it is time to think
10 about maybe some of the work things that we set
11 aside earlier that we want to revive and whatever
12 new topics are that OSHA would like to ask this
13 committee to work on, and we would welcome that
14 kind of a discussion to kind of at least get your
15 views of what the topics are that the committee
16 could and should take up next.

17 MR. STAFFORD: Okay. Well, I appreciate
18 that. We'll just have to figure out in juggling
19 the schedule here when we can get in this
20 discussion. I think we're a little bit ahead.

21 I don't know if Ms. Carter is here in the
22 room or not.

1 MS. CARTER: Yes.

2 MR. STAFFORD: You are. Are you ready to
3 go?

4 [No audible response.]

5 MR. STAFFORD: Well, we'll do that then,
6 and we'll be ahead. I think maybe we'll get
7 through this and maybe start that discussion then
8 sooner than later.

9 MR. MADDUX: Yeah. I think we've got
10 Janet and then some folks coming in from DSG to
11 talk about beryllium.

12 MR. STAFFORD: About beryllium.

13 MR. MADDUX: Then we can talk after that.

14 MR. STAFFORD: Okay, sounds good.

15 Thanks.

16 MR. MADDUX: Thank you, everybody.

17 MS. WILSON: And thank you. This is Lisa
18 Wilson.

19 I will designate the slides for the DOC
20 update as Exhibit 4.

21 **Directorate of Standards and Guidance Update on**
22 **the Globally Harmonized System of Classification**

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 **and Labeling of Chemicals(GHS) Program**

2 MS. CARTER: Well, good afternoon,
3 everyone, and thank you so much for inviting us
4 to talk about GHS, nanotechnology, and
5 construction.

6 We are going to divide this presentation
7 into two. Deana Holmes is our experts on GHS or
8 HazCom, or hazard communication standard, and I
9 will be discussing nanotechnology and
10 construction and how the hazard communication
11 standard applies to that. My name is Janet
12 Carter. I'm in the Directorate of Standards and
13 Guidance, a health scientist with OSHA.

14 So I'm going to hand it over to Deana,
15 and thank you again very much for this
16 opportunity.

17 MR. STAFFORD: Okay. And, Deana, please
18 introduce yourself too.

19 Before we proceed, can everyone on the
20 phone hear okay? Is it loud enough?

21 MS. BARBER: Yeah, it's good.

22 MR. STAFFORD: Okay. All right, thanks.

1 Deana.

2 MS. HOLMES: Thank you.

3 Could we proceed to the next slide, the
4 next one as well, please.

5 Okay. Good afternoon, everyone. Again,
6 I am Deana Holmes in the Directorate of Standards
7 and Guidance, and today, we are going to cover
8 HazCom 2012, 1 year of implementation. Then we
9 are going to discuss a little bit about the
10 training requirements for the December 1st, 2013
11 -- then we're going to give a brief, very brief
12 overview of the HazCom 2012 requirements. Then
13 we are going to talk a little bit about the
14 guidance and outreach products that we have
15 currently on our webpage, and then we are finally
16 going to discuss the work that's being done on
17 nanotechnology and construction.

18 Next slide, please.

19 Now, as you know, the Final Rule was --
20 Final hazard Communication Rule was published
21 March 26th of 2012, which is almost 2 years now,
22 not quite, but almost 2 years now, and our main

1 activity since that time, since we published the
2 rule, we have been focusing on public awareness.
3 So our main goal has been to just get the word
4 out about the standard and to provide the
5 regulator community with some assistance in
6 understanding the different provisions of the
7 standard.

8 We have conducted several webinars and
9 speeches. We have provided training to our
10 hazard communication coordinators, so they can
11 also help get the word out about the standard.
12 We have provided -- participated in a hazard
13 communication roundtable. We spent a
14 considerable amount of time responding to
15 questions from the public, either through the
16 formal interpretation process or through
17 electronic correspondence. We are still in the
18 process of developing the HazCom directive. We
19 have also developed several guidance and
20 compliance assistance products. We continue to
21 work on international GHS-related activities, and
22 also we have been working to resolve the

1 litigation issues.

2 Next slide, please.

3 Now, regarding the litigation issues, the
4 American Petroleum Institute, we are currently
5 still in discussions with them; however, we are,
6 I believe, close to settlement with them. So
7 hopefully, that will take place soon.

8 For the American Tort Reform Association,
9 the opening arguments were presented on October
10 21st in the D.C. Circuit Court.

11 CropLife America, they withdrew their
12 challenge some time ago due to untimely filing,
13 and then finally, we have the Coalition of five
14 different industry groups, which included the
15 American Chemistry Council. We were able to
16 settle with the American Chemistry Council, but
17 the remaining litigants have decided to
18 discontinue discussions with OSHA and to proceed
19 with the litigation process.

20 Next slide, please.

21 Okay. These are the effective dates for
22 the revised standard.

1 Now, the first effective date, which just
2 passed just a few days ago, is for employee
3 training, and all employees had to be trained on
4 a new label and safety data sheet formats by
5 December 1st, 2013.

6 Now, the next effective date is June 1st,
7 2015, and all provisions of the standard have to
8 be complied with by that particular date. So
9 that means that all the labels and safety data
10 sheets shipped after June 1st, 2015, have to be
11 in the new format.

12 Now, there are a few exceptions to this
13 June 1st, 2015, deadline, and the first is for
14 distributors who are passing on manufacturer
15 labels to customers. They are being given an
16 additional 6 months, so they have until December
17 1st, 2015, to be in compliance with the standard.
18 However, if these distributors are creating their
19 own labels, that makes them now manufacturers,
20 and they have to then comply with the June 1st,
21 2015, date.

22 The second exception is for employers.

1 They are given another year, so by June 1st,
2 2016, to update their hazard communication
3 programs and any other workplace signs, if
4 applicable.

5 And of course, during the transition
6 period, we are allowing you to comply with either
7 standards, the 1994 standard or the 2012 standard
8 or both.

9 Next slide, please.

10 Now I am going to discuss the required
11 training, and as I previously stated, the first
12 implementation date of concern is the 2013
13 training implementation date. And again,
14 employers were supposed to train their employees
15 on the new labels and safety data sheet formats
16 by December 1st, 2013.

17 And we did this because during the
18 rulemaking process, we did recognize that the new
19 approach to labels and safety data sheets is
20 quite different than what's currently being used.
21 So we felt that adding this one-time training
22 would ensure that employers train their employees

1 on the new safety data sheets and labels, before
2 they actually start coming into the workplaces.

3 Now, this training will ensure that the
4 workers understand and can access and use the
5 information effectively, and also they will
6 understand that regardless who supplies the
7 chemical, all the hazards will be communicated in
8 the same exact way.

9 Thank you.

10 The training provisions of the standard
11 have remained essentially the same from the 1994
12 standard. Employers are already required to
13 provide effective training to their employees on
14 the hazardous chemical sin the work areas, and
15 this training, of course, has to be done at the
16 initial time of assignment to work with the
17 chemical and also when a new hazard is introduced
18 into the work area.

19 Now, we do offer some flexibility when it
20 comes to this training. We allow them to be able
21 to conduct the training by chemical or by hazard,
22 but regardless of how they choose to conduct the

1 training, that training still has to be
2 effective, which means that it has to make sense
3 to the employees, and it has to be conducted in a
4 manner and also in a language they all can
5 understand.

6 Next slide, please.

7 Now, when conducting this one-time
8 training, there are several topics that we expect
9 to be addressed. Employers should talk about the
10 role of labels and communicate that labels are an
11 immediate source of information on the chemical,
12 and that the new labels will now have more
13 information on them than what's currently on
14 labels.

15 We also expect them to discuss the label
16 elements. Each label element should be
17 explained. There should also be a discussion
18 about the hazard classes and how they interact
19 with the different label elements, and also they
20 should provide examples of a new label compliant
21 with HazCom 2012.

22 Next slide, please.

1 Regarding the safety data sheet,
2 employers are required to train their employees
3 on the new 16 section format, and this should
4 also include the information that should be found
5 in each individual section of the safety data
6 sheet.

7 And also, while it's not part of the
8 training requirement, we feel that it may be a
9 good time for employers to review where they
10 house their safety data sheets at their
11 particular facilities and how the employees can
12 gain access to them.

13 Next slide, please.

14 And now I am going to briefly go over
15 some of the key changes to the hazard
16 communication standard as they relate to labels
17 and safety data sheets.

18 Now, regarding labels, the label again
19 functions as an immediate source of information
20 on the chemical. The new labels will now have
21 more information on them than are currently
22 present on current labels, and now the labels on

1 shipped containers of hazardous chemicals will
2 now be changing by June 1st, 2015.

3 Now, here are the elements that are
4 required to be on shipped containers. They have
5 to include a product identifier, signal word,
6 pictogram, hazard statements, precautionary
7 statements, and the name, address, and telephone
8 number of the manufacturer, importer, other
9 responsible party.

10 Can you go back one more?

11 The highlighted elements in blue are the
12 ones that are new, and I am going to talk about
13 them a little bit more momentarily.

14 Next slide, please.

15 First, you have the signal word, which is
16 a word used to indicate the relative severity of
17 hazard and alert the reader to a potential hazard
18 on a label.

19 Now, in HazCom 2012, there are two signal
20 words, "danger" and "warning," where "danger" is
21 used for the more severe hazards and "warning" is
22 used for the less severe.

1 Next slide, please.

2 A pictogram is a composition that may
3 include a symbol plus other graphic elements,
4 such as a border, background pattern, or color
5 that is intended to convey specific information
6 about the hazards of a chemical, and we have
7 eight pictograms that are designated under this
8 standard for application to a hazard category.

9 And these are the eight pictograms that
10 we are requiring. In addition, we have also
11 included here the non-mandatory pictogram of the
12 environment pictogram, which since we don't
13 regulate environmental hazards, we have just
14 included this since employees still may be seeing
15 this on labels in the workplace.

16 Next slide, please.

17 A hazard statement means a statement
18 assigned to a hazard class and category that
19 describes the nature of the hazards of a
20 chemical, including, where appropriate, the
21 degree of hazards. And to give you an example of
22 a hazard statement, "fatal if swallowed" is the

1 hazard statement for acute oral toxicity category
2 one.

3 Next slide, please.

4 "Precautionary statement" is a phrase
5 that describes the recommended measures that can
6 be taken to minimize or prevent adverse effects,
7 resulting from exposure to a hazardous chemical
8 or improper storage or handling. And examples of
9 precautionary statements are "Do not eat, drink,
10 or smoke when using this product" and also "Keep
11 the container tightly closed."

12 Now, we do offer manufacturers a little
13 bit of leeway when it comes to providing these
14 precautionary statements on a label. They are
15 allowed to combine them to enhance readability or
16 omit them if they are proven to be inappropriate.
17 So it's also important that employees understand
18 that they may be seeing some differences in how
19 the manufacturers put the precautionary
20 statements on a label, but the core precautionary
21 statements will be the same.

22 Next slide, please.

1 And this is an example of a HazCom 2012
2 label. As you can see at the very top, you see
3 "Xyz...Chemical" which is your product
4 identifier. Then you have following that three
5 pictograms. After that, you have warning, which
6 is your signal word, followed by several hazard
7 statements, and then finally at the very bottom,
8 you have several precautionary statements. And
9 at the very end, you have the name, address, and
10 telephone number of your manufacturer.

11 Next slide.

12 Now, we have also had several
13 implementation issues that came up over the past
14 2 or so years, and one of them was with small
15 packages. We are not providing an exemption for
16 small packages. OSHA has always provided
17 practical accommodations on a case-by-case basis
18 for small packages, and we will continue to do
19 so. However, we have provided on the webpage now
20 an interpretation on what information is required
21 on the inner package as well as the outer package
22 of small packages.

1 For pictograms, blank pictograms are not
2 permitted on a label, but we are allowing
3 manufacturers to black them out, so it's
4 important for employees to also understand that
5 that blacked-out pictogram doesn't mean that the
6 label has been defaced. It just means that that
7 was an extra pictogram there, so it's important
8 for employers to also train their employees on
9 that as well.

10 And then there are no size requirements
11 for labels. We only require that they be large
12 enough so that they're visible.

13 Next.

14 With regards to workplace labeling, we
15 are maintaining the flexible approach to
16 workplace labeling, so employers can choose to
17 label the workplace containers with either the
18 same label that's on the shipped container, or
19 they can use other label alternatives, such as
20 the HMIS or the NFPA labeling systems.

21 And again, all chemicals shipped on or
22 after June 1st, 2015, must have a new label and

1 safety data sheet.

2 Regarding safety data sheets, OSHA is
3 requiring a standardized order of information on
4 the safety data sheet. In the next slide, you
5 will see the specified order.

6 Next slide, please.

7 This is a specified order for the 16
8 section safety data sheet.

9 And next slide.

10 Here is an example of what your employees
11 may see in the first sections of a safety data
12 sheet, and this is basically here just to provide
13 an example that the NFPA label or the NFPA sign
14 can still be used on the safety data sheet,
15 because people were quite concerned about still
16 being able to have the rating system up there.

17 Regarding safety data sheet distribution,
18 an updated safety data sheet has to be provided
19 with products shipped after June 1st, 2015. I
20 also want to point out that manufacturers are not
21 required to send a new safety data sheet for
22 products that you still have in your inventory

1 after the June 1st, 2015, date -- excuse me --
2 prior to the June 1st, 2015, date. And also they
3 are not required to send new safety data sheets
4 for chemicals that are no longer being produced.

5 Now I am going to talk to you a little
6 bit about our guidance and outreach products. If
7 you are not already familiar with the Hazard
8 Communication website, this is what the first
9 page looks like, and on our site, you can find
10 the Hazard Communication 2012 standard as well as
11 the 1994 standard.

12 We also have some comparison documents
13 comparing the requirements of both standards. We
14 have on our page as well some frequently asked
15 questions and answers.

16 And some of the newer additions to the
17 website include a fact sheet on December 1st,
18 2013, trainer requirements. We also have an OSHA
19 brief on labels and pictograms, a hazard
20 communication wallet card.

21 Next slide, please.

22 We also have several quick cards on

1 labels, one on safety data sheets, and
2 pictograms.

3 And then we have -- finally have
4 documents in various stages of development. The
5 guidance product that I myself am excited about
6 is the Small Entity Compliance Guide for
7 employers who use chemicals, and that is actually
8 in the final stages of preparation for
9 publication. So hopefully, it will be available
10 on our site within the next couple of weeks or
11 so, and I can only be hopeful. It actually
12 provides a guide to help small entity employers
13 comply with the standard, and it also has a
14 companion fact sheet that provides steps to
15 developing an effective hazard communication
16 program.

17 Now, we are also in the process of
18 developing a model training program, and that
19 also includes some PowerPoint slides to aid
20 employers in conducting training. We have a
21 safety data sheet preparation guide that we're
22 working on and also a hazard classification

1 guidance document.

2 Then we also have, finally, several Web
3 applications that we're working on. We have an
4 electronic safety data sheets form, a label
5 elements application, and then an acute toxicity
6 calculator.

7 Now, for your reference, I have provided
8 here these web links to our updated webpages.
9 The first is the HazCom 20102 webpage. The next
10 one is the safety -- our safety and health topics
11 page, which includes information about HazCom
12 1994, and then finally, we have the UN GHS
13 subcommittee home page.

14 Next slide.

15 Now, regarding the UN subcommittee of
16 experts on the GHS, the subcommittee meets twice
17 a year to discuss several issues, and some of the
18 issues include implementation issues, practical
19 classification issues, and also other
20 harmonization issues.

21 Now, the subcommittee revises the GHS on
22 a biennium basis and is currently on its fifth

1 revision, and OSHA currently leads the U.S.
2 delegation to the subcommittee and also chairs
3 the subcommittee as a whole.

4 And now I am going to turn it over to
5 Janet to talk about nanotechnology and
6 construction.

7 MS. CARTER: Well, hello. This is Janet
8 Carter, and good afternoon, and thank you very
9 much for the opportunity to talk about
10 nanotechnology. It's one of my favorite
11 subjects.

12 I do apologize. I don't have any big
13 fancy slides on nanotech. I didn't want to get
14 into talking about nanotechnology. I wanted to
15 talk about the application of nanotechnology and
16 construction and then how some of the issues that
17 have come about with hazard communication, but I
18 will give you a brief background just for
19 informational purposes.

20 I'm sure most people are aware that
21 nanotechnology is the manipulation of matter on
22 the atomic or molecular scale, and it's generally

1 framed within the size range of 1 to about 100
2 nanometers at one dimension.

3 And of course, there are very many
4 interesting applications for nanotechnology. If
5 you think about carbon nanotubes, you just take
6 that, because that's a really good example of
7 something that's going to happen in construction.
8 Carbon nanotubes, they usually occur between 1 to
9 10 nanometers within their width. They can be
10 meters long. That's approximately like
11 1/50000ths of a hair, the 50,000 size of the
12 width of a hair. So they are very thin. They
13 can be very long. They are very lightweight, but
14 when you think about a carbon nanotube, they are
15 probably the strongest material ever invented by
16 humans.

17 And just to give you an example, there is
18 a tensile strength of a particular carbon
19 nanotube of 63 gigapascals. So for all your
20 engineering geeks, that's pretty strong. These
21 materials are quite impressive, and so they are
22 being used as reinforcement for construction.

1 They are being used in cables. They are being
2 used in electrical conductivity, all kinds of
3 applications. There is biomedical applications,
4 but I am trying to restrict it to just
5 construction.

6 So while there's a lot of really
7 interesting applications for these, there is
8 still not a lot known about some nano materials,
9 but what we do know about some nano materials is
10 that at least for some, there's some hazard
11 information, and so there is evidence that there
12 are health effects.

13 Now, we still don't know enough to
14 generalize about all nano materials, so we have
15 to still take it by a case-by-case basis. I just
16 want to point out, before moving to the next
17 slide, that NIOSH has produced two RELs for nano
18 materials, one for carbon nanotubes, which is .1
19 microgram per cubic meter, and then for -- I'm
20 sorry. It's 1 microgram per -- no, it is .1
21 microgram per cubic meter. I absolutely
22 apologize, because it just changed.

1 The other one is titanium dioxide, which
2 is 0.3 milligram per cubic meter. So ultra-fine
3 titanium dioxide is different from titanium
4 dioxide, even though ultra-fine titanium dioxide
5 has been in production since the 1940s. So some
6 of these materials have been around for a long
7 time, but something like carbon nanotubes is
8 still relatively new, so there's not a whole lot
9 we know about some of the health effects.

10 So if we can go to the next slide.

11 These are just some of the applications
12 that can be used in construction, and I start out
13 with carbon nanotubes, because that seems to be
14 the most popular one right now. I mean, it's in
15 roofing materials. It's in concrete
16 reinforcement. We actually see it in textiles,
17 and they add a lot of strength to textiles. They
18 are being looked at for bulletproof materials,
19 because they are so lightweight and they're
20 flexible, yet they're so strong they can stop
21 bullets. They are being used in children's
22 backpacks as bulletproof.

1 Nanosilica.

2 I know it's kind of sad you need that,
3 but yes.

4 For coatings, nanosilica is being used.
5 It is used as a whitening agent. It's also being
6 used for other properties that nanosilica has.

7 Nano titanium dioxide. Again, it's been
8 around for a long time. For window coatings,
9 it's really cool, and you can see this in the
10 construction. This is also in the construction
11 industry. They will actually coat window panes
12 with these nano titanium dioxide, because while
13 titanium dioxide is generally a white pigment,
14 nano titanium dioxide is invisible. If you apply
15 it correctly, it can create an invisible film, so
16 it takes on a very different characteristic.

17 And what it is, is it produces this
18 self-cleaning -- these self-cleaning windows, so
19 they can be used on skyscrapers. It can be used
20 in paint. It can be used as insulating
21 materials, so it has a really neat property in
22 insulating materials. It's kind of like spray

1 polyurethane foam where it has a really good R
2 value, and it can seal cracks. So you get a
3 really good vapor barrier, but it's generally
4 applied in either a spray or roller. So again,
5 there can be exposures. We don't know a lot
6 about these materials. With titanium dioxide, we
7 do have some pretty good measurement
8 capabilities. For some of the others, we simply
9 do not.

10 Graphene is really cool. It's a
11 nano-thin sheeting of carbon, and it's flexible,
12 but it has many applications like in coatings,
13 and then of course, carbon black has been around
14 for years, but there are nano carbon black, and
15 they are used in coatings and other applications.

16 Nano silver is another one that I don't
17 have up here, which is also used in textiles and
18 materials. It can be used to impregnate
19 materials, giving it strength, giving it
20 antimicrobial capabilities, so you may see them
21 in applications for PPE. I know that's one of
22 the things that's being investigated for some of

1 these materials.

2 So for the next slide, I just want to go
3 over briefly some of the OSHA activities in
4 nanotechnology. Because we know there are some
5 health implications, we have been heavily
6 involved in the National Nanotechnology
7 Initiative with NIOSH, and we are involved in the
8 Nanotechnology Environmental Health Implications
9 work group. Two of these activities, I'll talk
10 about very briefly.

11 The Sustainable Nanomanufacturing
12 Initiative, which is really interesting, what
13 we're trying to do is develop a sustainable model
14 for manufacturing these materials, so that
15 they're not only benign by design, but that when
16 they are manufactured, we don't have to worry
17 about waste. We don't have to worry about
18 recycling. We don't have to worry about worker
19 exposures.

20 And then the NanoInformatics Initiative,
21 which is something that I'm -- I think this is
22 really going to have a really good impact.

1 The next bullet you will see is a Hazard
2 Characterization/Hazard Determination Database.
3 This is going into that NanoInformatics
4 Initiative. What OSHA's part in this
5 NanoInformatics Initiative is, we're taking all
6 the published information that's been available
7 on the health effects for nanomaterials. We are
8 putting them in this database. It is a sortable,
9 searchable database. We are extracting from each
10 publication all the hazard information, so that
11 people can actually go in and take a look, and
12 they can start sorting. If you are interested in
13 carbon nanotubes, here is all the information on
14 carbon nanotubes, here is all the information on
15 titanium dioxide. Do we see consistencies across
16 the health effects? Can we start to develop some
17 hazard characterizations for these materials
18 that's consistent in groupings of material, so
19 that we don't have to take them on a case-by-case
20 basis?

21 This is going to be linked to other
22 databases like the Nano Registry, which has been

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 -- it's a really cool registry of all the
2 nanomaterials that have been developed. It sits
3 within the National Institute for Environmental
4 Health and Safety. So what we're going to do is
5 join our data with the data that they have on all
6 the physical characteristics of these materials,
7 so that you can just pull this up. If we know
8 that this one nanomaterial has this really cool
9 application, it's got these characteristics, oh,
10 and by the way, it's got these health effects
11 here. We know this. So all of that information
12 is going to be available in one place, and that's
13 really going to help people, because there's
14 thousands of pieces of information out there, and
15 it's just so difficult to get all of it in one
16 place.

17 MR. STAFFORD: So, Janet, can I interrupt
18 you?

19 MS. CARTER: Sure. Oh, I'm sorry.

20 MR. STAFFORD: So this database
21 ultimately will link to products, so that we can
22 see, for example, what the common construction

1 products have carbon nanotubes in them?

2 MS. CARTER: We are hoping so, because
3 there are several consumer databases out there,
4 and so we are trying to link them all together.
5 That's one of the things that the NanoInformatics
6 Initiative is supposed to do. So there's Nano
7 Hub. There's the Woodrow Wilson Consumer
8 Products Database. There's going to be ours.
9 There's others. These will all be linked
10 together, and so if there's consumer information
11 on those, that will be there too, and you will be
12 able to pull it up. So I think that will be
13 really helpful for people.

14 But the one that I really want to focus
15 on today is the NanoRelease Project, which is not
16 part of the NNI, but some of the NNI members are
17 actually part of it. And we're leading up one of
18 the projects for that.

19 So if I can show the next slide, please.

20 So one of the problems with nanomaterials
21 right now is we really don't have a lot of
22 exposure information. One of the reasons why we

1 don't have a lot of exposure information is
2 because we don't have a lot of exposure
3 techniques. We just don't have the monitoring
4 techniques for these. Because they have some
5 really interesting chemistries, because they are
6 really small, it creates some issues with
7 measuring these.

8 So what we are doing -- and this is a
9 project that was started by the International
10 Life Science Institute. It is a
11 multi-stakeholder project to develop robust,
12 consistent field methods. So these are going to
13 be something that can be used out in the field
14 and in construction. That's what we're hoping.
15 Right now, that's really lacking. The only thing
16 we really have right now is the titanium dioxide,
17 one that's really field ready.

18 So this is an international
19 collaboration, and all kinds of departments,
20 governments, Health Canada, U.S. Government. We
21 have EPA, OSHA, NIOSH, NIST working in this. We
22 have academic labs. We have NGOs. We have

1 industry. We have the leading manufacturers of
2 carbon nanotubes, BASF and Arkema that are
3 helping us with this. So it's a really good
4 collaborative project.

5 So if I can take the next slide, please.

6 So what we've done so far is develop some
7 background information. It kind of tells where
8 are these nanomaterials -- are in consumer
9 products, and I say consumer products because
10 construction is part of that. That is kind of
11 how it was broken up. That was how we were
12 looking at it. Concrete is considered a consumer
13 product in this particular database.

14 We're also pulled together all the
15 various types of methods that exist for measuring
16 these. Many of these are very sophisticated, and
17 we're talking about TEM, SEM, mass spec, the
18 MALDI-TOF mass spec. I mean, so these aren't
19 pieces of equipment that are going to go out in
20 the field, so we have to really kind of -- we
21 have to develop these.

22 We've also developed the state of the

1 science papers, and right now in what I am
2 co-chairing is the Interlaboratory Testing Group,
3 which is trying to evaluate the robustness and
4 field readiness for some of the methods that we
5 have.

6 And the two scenarios that we're looking
7 at, which I think are very applicable to
8 construction, are weatherization and abrasion.
9 So like sanding, sawing, those type of
10 applications. So what we want to do is see
11 what's coming off and what are the exposures.

12 Now, we are focusing right now on carbon
13 nanotubes, and we are focusing on carbon
14 nanotubes and epoxy, but BASF has done some
15 preliminary work on carbon nanotubes in concrete,
16 and what they have seen is that these materials
17 are coming off in chunks. The carbon nanotubes
18 are coming off, but they're coming off in big
19 chunks, and they're associated with large chunks
20 of concrete.

21 But they haven't done the real
22 weatherization part. So what they have been

1 doing is the abrading part, and they have seen
2 they're coming off -- if there are new materials
3 and they are being abraded, they are coming off
4 in these big chunks. What we are interested in
5 is we are going to look at weathering these
6 materials and then abrading them and see what
7 happened, if there's a difference, and that will
8 tell us a little more about what the potential
9 for exposure will be.

10 So if we can look at the next slide,
11 please.

12 So we kind of want to shift from that to
13 HazCom and nanomaterials, because there's been a
14 lot of discussion about nanomaterials and being
15 labeled. There are advocates for anything nano
16 should be labeled. Well, if you look at the
17 hazard communication standard, this is about
18 hazard communication.

19 Now, while I said earlier that certainly
20 there are some materials like carbon nanotubes
21 that have some very specific hazard information
22 -- as a matter of fact, NIOSH has published some

1 information on carbon nanotubes. We see where
2 they are fibrotic. They can translocate if they
3 are inhaled in the lung. At least in an animal
4 model, they can translocate, and while only a
5 small amount translocate, there is still some
6 translocation into the brain. They are actually
7 able to transverse the blood brain barrier, and
8 we see that with several different nanomaterials.
9 So that's where you are really seeing the
10 concern. Not only are they very fibrotic in the
11 lung, but then they translocate to other organs,
12 and while it's still a small percentage, we don't
13 know how that translates to human health.

14 So for something like a carbon nanotube,
15 we fully expect that if you have a hazard safety
16 data sheet, it will have hazard information on
17 there. It is required that it have hazard
18 information on there, because we have hazard
19 information, so they need to be doing that. But
20 for other materials, we don't have sufficient
21 data, but I also want to make clear that the
22 absence of data does not equate to the absence of

1 hazard, and that's where I think we need industry
2 to provide more hazard information. We also need
3 more funding for nanomaterial research.

4 We certainly are hoping that the projects
5 that we are looking at and certainly the database
6 formation and the NanoRelease Project will help
7 create these large databases, will also help move
8 the science forward, so that we're getting
9 sufficient information.

10 The other thing that I wanted -- I did
11 want to touch on once, I had mentioned this.
12 There has been the discussion about
13 nanomaterials, and people are saying that
14 everything that is nano should be labeled.

15 We are kind of looking at size this way.
16 This is still a discussion. We don't argue that
17 size shouldn't be labeled, but it shouldn't just
18 be nano. You shouldn't just infer that because
19 it's hazardous, because we don't have sufficient
20 information for that.

21 But if you're talking about an exposure
22 parameter, then it would be appropriate to put

1 size on a safety data sheet, if we were looking
2 at inhalable versus respirable materials, because
3 that goes to exposure. So I did kind of want to
4 make that point clear, and that's something that
5 I think the expert panel is still looking at.
6 That's not something that we have made a
7 determination about. That's something that's
8 still being discussed, but I don't want people to
9 go away thinking, oh, my God, if it's nano, it's
10 got to be hazardous, so you have to have it on
11 hazard communication safety data sheets. That's
12 not what we're saying. These are very specific
13 for hazard information, and when you have hazard
14 information, as with any chemical, they need to
15 be labeled.

16 So with that, I thank you all very much,
17 and if you have any questions, please --

18 MR. STAFFORD: All right. Thank you,
19 Janet, and thank you, Deana.

20 Any questions or comments?

21 Matt.

22 MR. GILLEN: I've got a question for

1 Deana. Thanks a lot for the great discussion
2 about the GHS.

3 You showed on page 13, you showed an
4 example of an SDS, and I've noticed, too, that
5 folks love to put the NFPA symbols up at the top,
6 same way you did on this slide. It's this one
7 here. It's the one showing the SDS. Yeah, there
8 you go.

9 People probably mentioned this before,
10 but it's a little confusing, because with GHS,
11 first of all, if you know, for health, they
12 really just look at acute effects and not
13 chronic, and then their numbering system is
14 opposite. So for them, NFPA-1 is good; whereas,
15 GHS-1 is bad. It is just a little confusing.

16 What are your thoughts on that as far as
17 people -- NFPA confusing people as far as the
18 health diamond there and what to do about that?
19 I don't know. Have you heard that before from
20 people?

21 MS. HOLMES: Yes, we have definitely
22 heard that before, and that's where training

1 comes in.

2 But as far as the GHS is concerned or the
3 HazCom is concerned, the only place where you are
4 going to see the actual numbering or the
5 classifications are in -- right here in Section 2
6 of the safety data sheet. So it is going to have
7 the classification number along with the hazard.
8 You will not see the number on the label. So to
9 us, it's not a conflict at all, but definitely,
10 some training is going to be needed to be done.

11 But OSHA has worked with NFPA on this,
12 because they know that it is an issue for some,
13 because some people are definitely concerned
14 about it, and they have jointly come up with a
15 quick card to explain the differences between the
16 two systems, so people can understand that there
17 really is not a conflict here and that you can
18 still be able to use both systems.

19 MR. GILLEN: Okay, great. Thanks.

20 MR. STAFFORD: Yeah. Go ahead, Walter.

21 MR. JONES: I just have a -- I don't know
22 if you are going to have an answer, because no

1 one really does, but you had mentioned the
2 respirable -- Janet, rather -- I'm sorry -- the
3 respirable effect of nano, and I was wondering,
4 you know, because when we're looking at
5 respirable effect, we are looking at 1 to 10
6 microns.

7 MS. CARTER: Mm-hmm.

8 MR. JONES: Nano is like a million times
9 smaller than even that, and I am under the
10 impression that most of the time anything smaller
11 than one micron, it becomes subject to the brawny
12 effects of in and out, in and out, and doesn't
13 really deposit.

14 So I was wondering, Is there any thought
15 on the hazard associated with these really
16 smaller particles? Because they are not subject
17 to embedding in the lung because of the brawny
18 and effects of -- associated with the size of the
19 particle.

20 MS. CARTER: That's a really interesting
21 question, and from a purely physics standpoint,
22 you're absolutely correct, but if you think about

1 the lung, you think about the chambers, it's
2 lined with fluid. It is a moist chamber. You
3 have the mucous membrane. We have seen from
4 animal studies, and we actually do from human
5 studies that they are actually deposited all
6 along the respiratory tract, but mainly in the
7 alveolar region, as with respirable particles.
8 But they are actually deposited along the entire
9 respiratory tract, including in the nasal region
10 where you will see translocation through the
11 trigeminal nerve.

12 MR. JONES: Okay.

13 MS. CARTER: Yeah. So there are actually
14 multiple mechanisms for a translocate --

15 MR. JONES: So there are other routes of
16 entry and hazard?

17 MS. CARTER: Yeah.

18 MR. JONES: All right. Second question
19 is you talked a lot about carbon nanotubes.
20 Silver is like -- I see silver all the time.

21 MS. CARTER: Oh, definitely.

22 MR. JONES: It's in clothing. Well, a

1 lot of my clothing is in silver, but the reverse
2 concern -- not a lot, but just running gear.

3 MS. CARTER: Yeah, you're right. No,
4 you're absolutely right.

5 MR. JONES: The sweating and smell issue.

6 [Laughter.]

7 MR. JONES: But the reverse thing is you
8 got workers actually developing these clothes.

9 MS. CARTER: Yeah.

10 MR. JONES: That's where the exposure is,
11 and that seems to be bigger than what we'd see in
12 -- because most of the time, you're looking at --
13 and these other carbon nanotubes is breaking off
14 in chunks or it's applied, but in the clothing
15 manufacturing, I would imagine exposures are a
16 lot different in a dusty environment working like
17 that, and you say we still haven't seen health
18 effects or we're just not looking, or is it we're
19 not --

20 MS. CARTER: Well, so it's interesting,
21 and I really support NIOSH's position on the need
22 for medical surveillance in the nanotechnology

1 industry.

2 There's a lot of argument about what
3 health endpoints we should be looking at. Should
4 we be looking at cardiovascular endpoints?
5 Should we be looking at pulmonary function?
6 Should we be looking at biomarkers? What
7 specific biomarkers? So there's --

8 MR. JONES: Skin discoloration.

9 MS. CARTER: Well, yeah. You would think
10 so if there's a lot of silver uptake.

11 MR. JONES: I mean, at nano levels, most
12 of the time, these properties change, but --

13 MS. CARTER: Yeah.

14 MR. JONES: It may not be the same as in
15 regular.

16 MS. CARTER: Well, the interesting thing
17 about -- so nano silver is a little different
18 than some of the others because nano silver tends
19 -- silver tends to be very soluble. So silver,
20 there's an interesting phenomena. What happens
21 is silver can solubilize, but it can actually
22 reform nano particles.

1 MR. JONES: Oh, okay.

2 MS. CARTER: So you will see if it's --
3 once it enters the body, it generally
4 solubilizes, but when it enters the environment,
5 it can solubilize and re-precipitate, solubilize
6 and re-precipitate.

7 So when you're talking about health
8 hazards, you're generally looking at the ionic
9 effect of silver, because most studies have
10 indicated that nano silver -- and I'm talking
11 about pure nano silver. There are other
12 applications for nano silver where they may be
13 treated with a coating to make them less soluble,
14 so those are going to be a little more -- those
15 are going to have a little different property.

16 But from some of the evidence we've seen,
17 nano silver tends to -- at least it's thought
18 right now, it tends to be solubilized, but you're
19 absolutely right. I mean, I didn't even touch on
20 any of the other industries. I focused on
21 construction, but -- and construction right now,
22 there's the other thing.

1 These materials are still really
2 expensive. Carbon nanotubes are incredibly
3 expensive. So while we know that there are a lot
4 of applications, we know there's applications in
5 textiles. We know there's a lot of application
6 for nano silver and for nano titanium dioxide and
7 for carbon black. Some of these materials are
8 still very expensive, so they are looking for --
9 they're kind of a technology looking for a home,
10 but I think we need to be proactive in how we
11 look at these things as well, so --

12 MR. STAFFORD: So these like
13 strengthening nanoparticles to strengthen
14 concrete, for example, is not a wide application
15 in the U.S. construction industry? Is that what
16 you're saying?

17 MS. CARTER: We believe that it's not at
18 this time, just because they are so expensive.

19 So they are used. I mean, they are used
20 heavily in the aeronautics industry. They are
21 also being investigated for use in tires, because
22 instead of radial tires, you would have carbon

1 nanotubes in there. There's all kinds of
2 applications being looked at.

3 There's not a lot of evidence that
4 there's been heavy penetration into the
5 construction industry yet, but we do know that
6 there are some applications.

7 MR. STAFFORD: And then one last question
8 for you, on the need for more dollars for
9 research, is this in the divide? Is those more
10 dollars for NIOSH, for OSHA, for EPA, for all of
11 the above? I mean, who is the hub of research in
12 nano in the United States?

13 MS. CARTER: Well, most of the research
14 is being conducted through NSF, through NSF
15 grants, or -- depending on the application.
16 Actually, the USDA has an incredible and dynamic
17 program in nanotechnology where they are actually
18 looking at forestry, where they're looking at
19 nanocellulose, but they are also looking at
20 applications for like nanosensors. They are also
21 looking at the implications in the environment.

22 But really, NSF, the National Science

1 Foundation, is like the hub, and they fund
2 academic labs, and they have set up these nano
3 centers. These nano centers, they are kind of
4 centers of excellence, and there are several of
5 them, and they have very dynamic programs in
6 human and environmental health. They are working
7 side by side with government and industry.

8 So that's really where I think a lot of
9 the funding needs to be placed, not necessarily
10 with the government, but funding these
11 independent academic programs, because that's
12 where a lot of the health effects information
13 comes from.

14 MR. STAFFORD: Okay. Any other questions
15 or comments?

16 [No audible response.]

17 MR. STAFFORD: Well, Janet and Deana,
18 thank you very much.

19 MS. CARTER: Well, thank you very much.

20 MR. STAFFORD: It was very informative.
21 We appreciate that.

22 Well, we're about 5 minutes ahead of

1 schedule, which is not a bad thing, so we will go
2 ahead and take our break now and reconvene at
3 2:45.

4 [Break taken from 2:24 to 2:46 p.m.]

5 MR. STAFFORD: All right. We will go
6 ahead and take our seats, please. We will
7 reconvene the meeting.

8 [Pause.]

9 MR. STAFFORD: Let's go ahead and
10 reconvene, please.

11 Let's get started. The last formal
12 presentation we have on the agenda for this ACCSH
13 meeting is a presentation on the draft proposed
14 beryllium standard, and Tiffany, you are on the
15 agenda, and I see that you have some colleagues
16 with you. So I will let you introduce them, and
17 then we will get started.

18 **Presentation on the Draft Proposed Standard**
19 **on Occupational Exposure to Beryllium**

20 MR. PERRY: Okay. Actually, I was going
21 to introduce her.

22 MR. STAFFORD: Oh, okay. I'm sorry about

1 that. I'm behind the times here.

2 MR. PERRY: Just for the record, because
3 I wasn't sure who was exactly identified on the
4 agendas appearing today, but for OSHA, I am Bill
5 Perry. I am Acting Director for the Directorate
6 of Standards and Guidance at OSHA, and with me is
7 Tiffany DeFoe. She is a health scientist in our
8 Office of Chemical Hazards (Metals) and is the
9 project officer for the Beryllium Project and
10 will walk this committee through some background
11 information on beryllium and some ideas that we
12 are considering for the proposal as they would
13 apply to the construction industry.

14 And then we have --

15 MS. BETZ: Louise Betz. I'm with the
16 Office of the Solicitor, and I've been in an
17 advising role on the Beryllium Project.

18 MR. STAFFORD: Okay. Thanks.

19 Well, just one last request to make sure
20 the folks on the phone can hear okay.

21 MS. BARBER: Yes, Mr. Chair. We can hear
22 fine. Thank you.

1 MR. STAFFORD: All right. Thank you.

2 MS. DeFOE: Next slide, please.

3 So thanks for having us. As we reported
4 at the last ACCSH meeting, the proposal for the
5 new beryllium standard is moving along pretty
6 quickly now, and we're in the final stages of
7 preparing a Notice of Proposed Rulemaking to send
8 to OMB for review, prior to publication in the
9 Federal Register.

10 Our new agenda just came out last Friday,
11 and the date in there for the beryllium proposal
12 is April 2014.

13 Next slide.

14 So we believe that the main operation in
15 construction where beryllium is an issue for
16 workers is abrasive blasting, since beryllium
17 isn't generally used in other construction
18 materials. It is a contaminant in some blasting
19 media, primarily coal slags, like Black Beauty
20 and copper slags, both of which are most often
21 used in open-air blasting.

22 Even though beryllium is just a minor

1 contaminant in these slags, because the blasting
2 is so dusty, it can get to levels that are above
3 the current PEL.

4 From what we've seen in the IMIS data,
5 about 70 percent of abrasive blasting workers
6 have detectable beryllium levels, and these
7 levels have a mean of 3.7 micrograms per cubic
8 meter and a median of .6. About 35 percent are
9 over the current PEL.

10 We have pretty limited data on helpers
11 and clean-up workers. What we do have suggests
12 that their exposures are much lower, with medians
13 around .1 and below. The means can get a little
14 higher.

15 Short-term exposures from blasting with
16 coal and copper slags can be very high, with
17 about 4.4 micrograms per cubic meter, estimated
18 by NIOSH in a 1999 study for coal slag over a
19 24-minute period, and in copper slag, the number
20 would be 1.24 micrograms per cubic meter. Other
21 media with very low beryllium content, like
22 garnet, staurolite, and nickel slag have lower

1 but still detectible levels.

2 And our preliminary estimate is that
3 about 23,000 workers in the construction industry
4 might perform open-air blasting or other helping
5 positions. These are mostly painting contractors
6 or special trade contractors.

7 The ventilation standard for construction
8 does require blasting operators to use abrasive
9 blasting respirators when they are working in
10 side cleaning rooms and when concentrations
11 exceed the applicable PELs.

12 Given how generally dusting blasting is,
13 we think that most operators should be already
14 supplied respirators and wearing PPE to be in
15 compliance with the ventilation standard.

16 Next slide.

17 So in revising the current beryllium
18 standard, our main concern has been to reduce
19 worker's risk of chronic beryllium disease and
20 lung cancer -- or chronic beryllium disease or
21 CBD. CBD is a disease of the respiratory system
22 where the immune system reacts to beryllium in

1 the lung, developing scar tissue and granulomas
2 that inhibit the exchange of oxygen.

3 Sensitization is the first step to
4 disease, and if you can prevent someone from
5 getting sensitized, then they can't get CBD. It
6 can happen either through airborne exposure or
7 skin exposure.

8 Once a person is sensitized to beryllium,
9 they have a high risk of CBD. Studies show that
10 30 percent or more of people who become
11 sensitized to beryllium go on to develop disease.

12 The symptoms of CBD, like shortness of
13 breath, persistent dry cough, chest and joint
14 pain, can be eased or suppressed with treatment,
15 such anti-inflammatory steroidal drugs, but the
16 underlying disease can't be reversed. Once the
17 granulomas and the scar tissue is there, it's
18 there to stay.

19 Sensitization can happen very quickly,
20 often within a person's first months of
21 employment; whereas, CBD is much slower to
22 develop over the course of years. Studies of

1 workers exposed to beryllium show very clearly
2 that people can get sensitized from exposures to
3 .1 micrograms and above, and they also very
4 clearly show that CBD develops among workers with
5 .2 micrograms and above of exposure.

6 The best and most recent studies of lung
7 cancer risk show a significant excess lifetime
8 risk of lung cancer among workers with exposures
9 of .1 over a long period of time.

10 So although we think that most people
11 doing blasting should be in respiratory
12 protection, we're also aware it only takes a
13 little bit of beryllium to cause some very
14 serious disease, and blasters who get exposed
15 because their equipment isn't working correctly
16 or isn't being used consistently or helpers or
17 people in the vicinity who might not be using
18 equipment may still develop a serious health
19 condition since levels as low as .1 can trigger
20 sensitization in CBD.

21 Next slide.

22 The draft Proposed Rule that we showed to

1 the SBREFA Panel included all the provisions that
2 are common in 6(b) standards, and it's this type
3 of suggested standard that you heard about
4 yesterday from the Steelworkers and Materion.

5 To quickly follow up on a question that
6 was raised in the last meeting about the SBREFA
7 panel, we did have a representative from abrasive
8 blasting. That was Mid-Atlantic Coatings.

9 Now, we feel that many of the provisions
10 in the draft standard that was presented at
11 SBREFA might not be very feasible or effective in
12 a construction setting, and also the construction
13 setting, we think that mainly it's just the one
14 group that's really exposed.

15 Next slide.

16 So some options that we're considering
17 for the construction industry include doing just
18 a revision of the PEL. The options that we have
19 been looking at from the SBREFA draft were .1,
20 .2, .5, and 1. We are also thinking about
21 introducing a short-term exposure limit to get
22 some of those very high exposures under control,

1 and we are thinking about extending medical
2 surveillance to people in the construction
3 industry, including physical exams and testing
4 for beryllium sensitization, with screening for
5 CBT for people who are found to be sensitized.

6 Based on a suggestion from some
7 stakeholders, we are also thinking about whether
8 it makes sense to include a CT scan or similar
9 diagnosis for early detection of lung cancer.

10 Next slide.

11 Well, so these are our considerations for
12 the construction industry and beryllium. We ask
13 for your recommendation, and we're happy to take
14 questions.

15 MR. STAFFORD: Thank you, Tiffany.

16 Any questions or comments?

17 [No audible response.]

18 MR. STAFFORD: So I missed the data you
19 had. The 23,000 is essentially blasters in
20 construction as opposed to other trades working
21 around that operation?

22 MS. DeFOE: Unfortunately, we don't have

1 a lot of detailed information on job categories,
2 so that's mostly painting contractors and
3 specialty trade contractors, anyone who might be
4 working in blasting.

5 MR. STAFFORD: Yeah, Matt.

6 MR. GILLEN: Matt Gillen, NIOSH.

7 I missed it. Were you saying that the
8 PEL was only one of several options, or was that
9 the only option you were considering for
10 construction? I must not have been paying
11 attention at that point.

12 MS. DeFOE: So the range of PELs that
13 we're considering at .1, .2, .5. I think those
14 are our strongest focus, and then 1 was also in
15 the SBREFA.

16 MR. GILLEN: But I thought I heard
17 something about a PEL of only 1 for construction,
18 so you wouldn't have the 6(b) parts.

19 MS. DeFOE: That's right.

20 MR. GILLEN: That's where I get all mixed
21 up. So is that just one of several options, or
22 that's your proposal is to do PEL only? That's

1 what I'm trying to understand.

2 MR. PERRY: I think I can help with this.
3 Because anything we might do regarding beryllium
4 in the construction industry we think would be
5 confined to abrasive blasting operations, there
6 are already standards on the books, as you know,
7 regarding PPE, respiratory protection. Some of
8 the other provisions that we were thinking about
9 for general industry, we think just aren't
10 reasonably necessary or appropriate for abrasive
11 blasting operations, so they won't add to worker
12 protection, but might cause employers to have to
13 do some additional thing.

14 So we think what's important here is to
15 adjust the exposure limit in part so that it's
16 aligned with whatever action we take on the
17 general industry side.

18 And then we're also thinking about adding
19 medical surveillance requirement that would be
20 comparable to what we proposed for general
21 industry, which would include the elements that
22 Tiffany mentioned.

1 So you think about a reduction of the PEL
2 augmented with some medical, and that's really
3 what we're thinking of that would be effective
4 here, considering the limited scope of
5 application.

6 MR. STAFFORD: Thanks.

7 Any other questions or comments? Anybody
8 on the phone?

9 [No audible response.]

10 MR. STAFFORD: Okay. Thank you.

11 MR. PERRY: Is that it?

12 MR. STAFFORD: That was pretty easy.
13 Yeah. Thank you.

14 **Chairman Remarks/Public Comments**

15 MR. STAFFORD: While Jim is with us, I
16 think, Jim, maybe now is a good time to have our
17 open discussion here about the future of ACCSH
18 work groups, and then after that, we will go to
19 public comment, so please one last reminder that
20 if you'd like to make comment, please sign up on
21 the sign-up sheet in the back of the room.

22 All right. So this is not a formal

1 agenda item, but this is I think a discussion
2 that's important as we proceed with ACCSH in the
3 next year here or so in terms of our direction
4 and our work groups. As Jim Maddux said earlier
5 as a part of his report, we have had several work
6 groups and produced a lot of good work; most
7 recently, our training and outreach work group.
8 And there are some that I think that we've gone,
9 as I mentioned yesterday, about as far as we can
10 go. Like I think the I2P2 program standard work
11 group is a good example of that. Maybe backing
12 operations is another example of that, but at any
13 rate, I just want now -- and I'll regroup with
14 Jim and staff after this meeting after we have
15 this discussion in terms of direction, but I
16 think at this point -- and particularly with our
17 situation of having to have workgroup meetings by
18 teleconference or telephone, it's an added
19 complicated matter, and I think as a committee,
20 we have to figure that out.

21 In the last year, we've gone from a
22 committee that has essentially gotten the brunt

1 of the work done through the work group process,
2 which I think is incredibly important to a
3 committee that's done primarily most of our work
4 in full committee settings, and that's one of the
5 reasons why in I don't know how many years, but
6 I'm going to speculate it's been at least a
7 decade or longer since ACCSH has met four times
8 in a 1-year period, so I think it's all these
9 factors coming together that's leading to the way
10 that we've adapted. And now we have to figure
11 out how far we proceed and adapting as we move
12 forward.

13 So I'd like to start this discussion with
14 our friend Matt Gillen. As you folks know now,
15 if you've been here for the last day or two, Matt
16 will be leaving this committee and be retiring
17 from NIOSH. I just want to say as the chair of
18 this committee that it's been great working with
19 Matt in this capacity. Unlike David Michaels, I
20 can't quite go back 30 years with Matt, but it's
21 probably been at least 25 or so, mid '80s or so,
22 since I started to work with Matt in various

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 capacities that we've both had over the years,
2 and it's been a great ride, Matt. You've been a
3 terrific friend and a colleague and certainly
4 someone that I've had the pleasure to work with.

5 And so Matt in his departure -- and I
6 don't know how many years you've been on this
7 committee now, Matt? Six? Five?

8 MR. GILLEN: I was going to mention that.

9 MR. STAFFORD: Okay. Well, I'll let you
10 mention that, so --

11 MR. GILLEN: I was going to pontificate a
12 little.

13 MR. STAFFORD: As Matt leaves us, he has
14 an opportunity to share his wisdom, and I think
15 starting this discussion about where we go with
16 work groups on his thoughts, now that he's been a
17 long-standing member and is getting ready to
18 leave the committee, on what he thinks may be
19 some areas that this committee take a look at in
20 the future.

21 So, with that, Matt, thanks you.

22 MR. GILLEN: Well, thanks. Thanks for

1 the opportunity to say a few words, and thanks to
2 Jim Maddux and the crew at the Directorate of
3 Construction for all the great work you do. It
4 is hard work, and you do a great job, so thanks.

5 And thanks, Pete, for being such a great
6 chair.

7 So just a few things, I joined the
8 committee back in the summer of 2006, and Bob
9 Krul of the Roofers Union was the chair at the
10 time. I looked up my records, and I noticed that
11 Steve Hawkins was on the committee when I joined,
12 so he'll carry on to compete for any ACCSH
13 longevity records. It's going to be Steve to do
14 that.

15 MR. HAWKINS: I don't know about that,
16 Matt. I think you're always going to be the
17 winner there.

18 [Laughter.]

19 MR. GILLEN: Anyway, I don't think so.

20 But you know what, one of the things I
21 like about working in construction is just
22 there's really a sense of community, and ACCSH is

1 really an important part of that community. We
2 don't always agree on everything, but we always
3 -- we keep things civil, and we learn from each
4 other, and we have really good discussions. We
5 work together when it makes a difference, so
6 that's a really good thing.

7 You know, I think being on ACCSH is
8 really an important responsibility for
9 leadership. So I just want to challenge you and
10 encourage you to think that way. It ranges from
11 looking at details and things like that to sort
12 of looking at bigger issues, and so I encourage
13 you to keep doing that.

14 What I wanted to do in leaving ACCSH, I
15 wanted to mention two issues that I kind of
16 regret I wasn't able to work on to make a little
17 bit more progress, and so I guess I want to kind
18 of pass the torch on those issues to others to
19 maybe move those issues forward.

20 So the first one is this idea of federal
21 leadership on construction safety and health, and
22 it's really interesting because ACCSH predates

1 OSHA, and it was actually created in 1969. It
2 was created -- you can look it up, and it says it
3 was to improve safety and health on federally
4 financed or federally assisted construction
5 projects, was one of the original missions of
6 ACCSH. I guess to me, when we're here like 45
7 years later and the federal General Services
8 Administration does not yet prequalify
9 contractors for safety and health on
10 construction, there is still more to do there.

11 I guess I look at the fact that the
12 federal government is a leader on green
13 buildings, and it's really pushing and doing a
14 lot. And there's executive orders across
15 multiple administrations on that issue to push
16 buildings, to adopt a number of best practices.

17 An example, for example, one of the
18 executive orders requires that in the next decade
19 or so that buildings are net zero, that they
20 actually produce energy, so they don't overall
21 use energy. It's a net -- you know, they create
22 the amount of energy they need to use, so it's

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 net zero. I guess to me, that always bothers me,
2 because it's like what about net zero injury
3 buildings or something like that, what would
4 federal excellence in construction be like, and
5 how that's an important way to influence adoption
6 of best practices.

7 And this procurement, it's an important
8 tool that we don't use. We use enforcement. We
9 use consultation. We use training, but we don't
10 really use procurement maybe as much as we could.
11 I know ACCSH has been working on some guidance
12 for GSA. I know this is an issue that Pete is
13 interested in. So I want to encourage you to
14 keep working on that. I think it's important.

15 So the second one is the construction
16 worker health, and I am an industrial hygienist.
17 Working in construction, you learn how important
18 safety is. I have learned a lot about safety. I
19 am still learning, and I thank everybody who
20 shared knowledge about it.

21 I tried to roll up my sleeves and help on
22 some safety issues, like nail guns and falls, but

1 I kind of regret not making a little bit more
2 progress on some of the health issues.

3 You might recall that in NIOSH's August
4 presentation that Christine Branch reported on a
5 National Health Interview Survey. They found out
6 that 50 percent of construction workers reported
7 regular exposures to vapors, gas, dust, or fumes
8 twice a week or more, and that's pretty high.
9 More needs to be done on health, and so I thought
10 about this and had a couple of suggestions. In
11 typical NIOSH fashion, they did a small amount of
12 research on it and prepared a few slides. You
13 can't do anything without slides in NIOSH. So
14 that's what I have here, and it's just the health
15 hazard challenges in construction and two
16 suggestions.

17 So you can go to the next slide.

18 So, you know, it's this whole idea that
19 safety in construction, it's really just visible.
20 you can really see it, and health, it's just less
21 visible, and so that's a problem. For injury, it
22 happens right at the job site, and when it does

1 happen, injuries are a lagging indicator, but
2 they drive action. When somebody gets hurt on
3 the site, it drives action; whereas, with health,
4 disease, it happens later on. It doesn't happen
5 at the site, and it usually gets unreported
6 because of that. So we don't have the lagging
7 indicators to drive action.

8 With OSHA inspections, they clearly have
9 safety targets, but it's really harder to target
10 for health, and so it's got a bit of a lower
11 profile. I wonder sometimes does the lower
12 enforcement profile for health send a message
13 sometimes to contractors and workers and health
14 is less important. I kind of wonder what we can
15 do to make that better, and that ACCSH might have
16 a lot to offer there, because, again, when people
17 do estimates, the health burdens are really --
18 they claim that they estimate that they exceed
19 the injury burdens.

20 So I had a couple of ideas. Next slide.

21 One was to really work with OSHA to sort
22 of think about how we can optimize health

1 enforcement, and so if you look at OSHA's
2 compliance directive for inspection scheduling,
3 the section on health says that no separate
4 scheduling method is applied for program
5 construction health. Rather, the area director
6 determines which inspections are to be conducted
7 as a joint inspection. So again, the site
8 selection is driven by the safety programming.
9 There's not sort of as much separate thinking
10 about health programming, although they do have
11 local emphasis plans. Those are grayed, and you
12 can actually see them all. They are all listed
13 in OSHA's enforcement webpages. I'm sure there's
14 some really good things going on at that local
15 level, and it might be something worthwhile to
16 learn more about and to disseminate good
17 practices.

18 Next slide.

19 I was wondering how much health
20 enforcement is done for construction health
21 standards. At first, I tried looking at the
22 citations and percentages, and it got pretty

1 complicated. So I just said, well, a very simple
2 thing is let's just look at the top 10 and see
3 what that might tell us, and it's simple, but it
4 gives us some insights. And you always have to
5 be careful.

6 So if you go to OSHA's website, this is
7 the numbers for the last year, October 12th to
8 September 13, 2013, and for NAICS Code 23, which
9 is all construction, you see there's 35,000
10 inspections. You have to have the handout,
11 because it's hard to see there, but there's
12 35,000 inspections, 14,000 -- I mean 35,000
13 citations, 14,000 inspections, et cetera, and in
14 the top 10 there, there is one. Hazard
15 communication is in the top 10.

16 I mean, there's more safety standards
17 than health, so you wouldn't necessarily expect
18 to see a huge amount, but we should see some in
19 there.

20 But if you go to the next slide, the
21 whole idea is that there are a few health hazards
22 that cut across the entire industry, like noise

1 or hazard communication, but many are specific to
2 subsectors and types of work are not easy to
3 find, and you really have to drill down.

4 So if you look at lead as an example,
5 there are sources of data that we can use to
6 guide us, and here is an example if they are
7 ABLES or just Adult Blood Lead Epidemiology and
8 Surveillance program that NIOSH has participated
9 in. Basically, when people have blood leads done
10 and if the level is high, the lab that does the
11 analysis automatically reports that to the state
12 health department. They don't report the name,
13 but they report that there was an excess level in
14 about 41 states.

15 When you look at that, you can see these
16 are the construction subsectors that rate high,
17 and highest of all is painting and wall covering
18 contractors, and over this period 2002 to 2011,
19 they had 1,075 cases. This group had the most
20 cases that are really, really high blood leads,
21 above 60, more than mining, more than battery
22 manufacturing. This is the group that has the

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 highest blood leads there, followed by street and
2 construction, site prep contractors, remediation
3 services, and others.

4 So next slide.

5 If you look at that NAICS code for
6 painting and wall covering contractors, it's very
7 reassuring that you see that lead is the
8 number-one citation, so OSHA does do inspections
9 there and does find these groups. And you can
10 see there's actually three health items in the
11 top 10 for this.

12 You can also look at the states, and 7 of
13 the 26 states did do some inspections, but you
14 can have a discussion. Given this is the group
15 that has the most lead exposures, is 32
16 inspections nationwide the right amount? Are
17 there some discussions that could be had to focus
18 on this group a little bit more, for example? It
19 might be a useful discussion to have.

20 Next slide.

21 Same way you can look at the highway
22 construction subsector there. Again, lead was in

1 the top 10. In this case, there were only about
2 four inspections involved there, and how to find
3 these bridge demolition jobs, it's not going to
4 be the new construction that's the issue. It's
5 the bridge demolition jobs, but again, this might
6 lead to some discussions about maybe raising the
7 profile for some of these subsectors of concern.

8 Next slide.

9 So this is a really interesting one,
10 because this is the folks that do remediation
11 work. When they did the NAICS code changes, they
12 took this group out of construction and put it in
13 services, and these are the people that do lead
14 and asbestos abatement. In some respects, they
15 are construction companies really, and when
16 McGraw-Hill, ENR does this report on the top
17 specialty contractors, they have a section on
18 asbestos and lead contractors, and they talk
19 about them. When they get cited, they get cited
20 with construction standards, and you can see
21 here, this group had 4 of the top 10 were health,
22 including asbestos and lead. And this is the

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 kind of group that could easily fall through the
2 cracks, because, again, they're technically in
3 services when you're just driven by NAICS codes,
4 but yet they do a lot of construction work. And
5 this would be a group that you would expect to
6 have a lot of health concerns, because they're
7 doing lead abatement, asbestos abatement,
8 hazardous waste-type work.

9 You can see here there were 59
10 inspections. This is a case where the states did
11 quite a few more, 169, but it was only 3 of the
12 states that did most of them. So again, maybe
13 there should be more talking among people about
14 what works, how to find these contractors. It's
15 not easy to do, so maybe there are some issues
16 there that are worth having discussions to kind
17 of just raise the profile a little bit of health
18 and maybe to target, and that those might be
19 interesting issues and issues that ACCSH might
20 help advise the agency on how to do and might
21 hear from the agency some of the people at the
22 local level doing LEPDs and all that, what's

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 worked for them, and have them share some
2 information. So that was the idea there.

3 So the next slide would be -- just a
4 couple more slides. You know, whenever I think
5 about how to improve things for health, I ask
6 myself what do they do for safety, and one of the
7 things that safety has done that seems to be so
8 successful is that it is Focus Four. I mean, we
9 already heard it mentioned several times today,
10 and maybe we should do a Focus Four for health.
11 What would be some ideas for health hazards to
12 do? Because the Focus Four development really
13 led to development of training materials,
14 outreach, skills development among contractors,
15 attention at the job site. Maybe health could
16 benefit from a similar attention if you had a
17 health -- Focus Four for health.

18 And the last slide would be to say that
19 you need to think through some distinctions doing
20 this, because if you were going to say focus on
21 asbestos or lead, you are not going to find it in
22 new construction because it's very rare to use

1 that. You'd want to be able to say, well, the
2 Focus Four for renovation or remodeling or repaid
3 might include lead and asbestos, but it's not
4 worth anybody's time to put that on the list for
5 new construction. So you might think about it a
6 little bit more and break it down a little more
7 than you do for injury.

8 But something like this might actually be
9 useful. It might be useful to have those kind of
10 dialogues about what would be the best Focus
11 Four. It might be silica. It might be noise.
12 It might be HazCom, et cetera, et cetera.

13 So anyway, I just wanted to throw those
14 ideas out there to give you something to think
15 about for how we might work on health in the
16 years ahead and to encourage you to carry on and
17 keep doing the important work that you do.

18 So thanks. Thanks a lot for letting me
19 to that.

20 MR. STAFFORD: Yeah. Thanks, Matt.

21 This will lead into the discussion, Jim.
22 I think this is a good idea. We do have a very

1 broad work group that you and Walter have been
2 co-chairing, and who else was on that work group?
3 Well, it used to be -- was it Don Pratt who took
4 over for Michael? Yeah. But it was such a huge
5 work group. We were dealing with health hazards
6 and emerging issues like nano and prevention
7 through design, and maybe your suggestion is
8 something that we think about keeping up the
9 health work group and kind of working with OSHA
10 on this issue.

11 Jim, I don't know what you think about
12 that.

13 MR. MADDUX: Well, I think that Matt
14 raises a really good point. We certainly have
15 done a lot more on safety than we have on health
16 issues. That's just apparent, and, yeah, there
17 might be some useful discussions there.

18 MR. STAFFORD: I think, Matt, you said it
19 in your first slide, although I don't have it in
20 front of me. I think for the work that we've
21 done at CPWR, at the beginning of our work, we
22 were looking mostly at health hazards, because we

1 could see a gap there. Around the table, a lot
2 of safety folks that were very interested in the
3 acute injuries, and we weren't doing a lot in
4 health, but we've seen the trend over the last 25
5 years of doing more research in safety, probably
6 what's happening to OSHA, because there's what
7 the contractors care about, right? And there's a
8 lot of latency periods after exposure. You're
9 not working for the same contractor, getting the
10 workers' comp.

11 So there's drivers that are driving why
12 it is that we look at safety before we do health.
13 That doesn't mean we shouldn't continue to try to
14 get back to that and take a look at that, and I
15 think it's something that is important.

16 MR. MADDUX: I think that would be a
17 really good -- these are a couple of good topics
18 for the health work group to talk about.

19 MR. STAFFORD: Okay. So we will continue
20 that. If we are all in agreement, we will just
21 get started in that right now. For edification
22 for those of you folks who don't know, we

1 realigned our work groups last year, and we have
2 kind of trimmed them down from seven to five. So
3 it sounds like one of the five is health hazards,
4 and we'll narrow that down to health and maybe
5 look at this issue of a Focus Four, because the
6 Focus Four on the safety side, as Matt said -- I
7 don't remember how long ago the Focus Four
8 started, but there has been a lot of work done in
9 the area over the last -- what? Fifteen?
10 Whatever it's been now. It's been a long time.

11 MR. JONES: Anchor of the OSHA 10.

12 MR. STAFFORD: Yeah, it really is and on
13 your enforcement side in construction. That's
14 really the driver of it. So I think that's
15 important.

16 Outreach and training is another one of
17 our work groups. We have done a lot of work with
18 this committee in the last year or so, and it's
19 kind of culminated with the Intro. There was one
20 thing that we had recommended, I guess, back in
21 November as a part of this activity, not an
22 addition to looking at the Intro, was an overall

1 evaluation of the program. We have kind of been
2 focusing on the Intro part, but I think an
3 overall evaluation is something that I am
4 particularly interested in revisiting. I think
5 that there's a lot of folks in this industry that
6 have talked. We certainly hear it both from
7 union contractors and non-union contractors and
8 our union groups about, for example, the reasons
9 why, and I think we've raised this with Dr. Payne
10 last year, why do we continue to do the 502 the
11 way we do, as an example. So I think it would
12 behoove us to go back and kind of take a look at
13 why we're doing the things that we do.

14 I think that Dr. Payne's response when we
15 asked that question was that we do it that way
16 because we've always done it that way, and I can
17 appreciate that, but I think sometimes things
18 change, and we should think about taking a look
19 at that, and I think it will be very important.

20 If you don't remember the discussion,
21 that was why we have to do -- send our
22 instructors to 4 days to do a 502, which is

1 essentially designed to update instructors on new
2 standards, to keep them abreast, so that they can
3 continue on to teach to those new standards.
4 When you think about that there is not a lot of
5 new standards coming out, that we really need to
6 spend our resources, our contractor's resources,
7 our union resources, instead of sending
8 instructors away for 4 days to take this course,
9 when it be adequate to do it in 2 days or
10 whatever that is. But that's the kind of thing
11 that we had talked about looking at.

12 MR. MADDUX: Or is the rationale for kind
13 of a recurring update training really
14 appropriate? Is it really to update people on
15 new standards, or are there other things --

16 MR. STAFFORD: That we should be --
17 right.

18 MR. MADDUX: -- that it should be
19 accomplishing?

20 MR. STAFFORD: Right. There's a lot of
21 it, particularly in the building trade side.
22 There is a lot of effort among folks that brings

1 their instructors together for whatever they call
2 them, training upgrades, training enhancements,
3 those kinds of things that help instructors be
4 better instructors, not just talking about
5 standards. So that's kind of the idea.

6 I think that if we wanted to continue
7 this work group, it would be the next charge for
8 that, is kind of looking at that. I know, Jim,
9 at one point, I know that there's a different
10 evaluation group within the Department of Labor
11 --

12 MR. MADDUX: Yes.

13 MR. STAFFORD: -- that may come in and
14 help us. I don't know if that's something that
15 we may need to --

16 MR. MADDUX: Yeah. We're still hoping
17 that we can do that. I think that we had had a
18 discussion with the work group kind of on, you
19 know, maybe getting some recommendations on kind
20 of the formulations of study questions, what are
21 the kind of things that you would really be
22 trying to find out about the programs as an

1 evaluation, sort of is it effective, is it
2 reaching the right audiences.

3 There are probably a large number of
4 things that you would want to try to learn about
5 it.

6 MR. STAFFORD: Right. No, I think it's
7 very important.

8 I hear of these ERCs, and I understand
9 that they're pushing more and more, that you
10 can't teach safety and health in this industry
11 unless you have a lot of letters behind your
12 name. And I think that's wrong, personally. I
13 would challenge anybody to take a look at the
14 peer-led training that is done in this industry
15 by experienced apprenticeship training
16 instructors that have come out of the crafts that
17 do apprenticeship training skills, training, and
18 have become excellent safety and health trainers.
19 To say that because they are not a Ph.D., they
20 can't deliver a class, those are the kinds of
21 discussions that really -- I don't want to say
22 scare me, but they offend me in some ways, and

1 I've said this to Hank Payne before. Before
2 anything is done like that, these kinds of
3 discussions amongst that group, that I think that
4 those things need to come through this committee
5 and our industry stakeholders, because I think
6 that's very important and a really dangerous path
7 that they're going down if that's what they're
8 doing.

9 MR. JONES: On the subject of OTI, we
10 have talked about it. I know when I was chair a
11 few years ago, and you have mentioned it at some
12 of our internal meetings. Supervisor training
13 for construction workers, getting an OSHA 10
14 style, either making OSHA 30 supervisor or
15 another class. That is something maybe the
16 committee might want to look at as well.

17 MR. STAFFORD: All right. Okay. I think
18 that's important.

19 Yeah, Jerry.

20 MR. RIVERA: Mr. Chairman, I want to
21 support the motion to continue with the --

22 MR. STAFFORD: Say who you are just for

1 the recorder.

2 MR. RIVERA: Jerry Rivera, Employer Rep.

3 I want to support that motion, because
4 the training and outreach group has a lot of
5 work. There's a lot of modifications that
6 occurred, can occur to the 10-hour, to the
7 30-hour, like was mentioned here today. We can
8 make something more supervisory.

9 As far as the 502, I'm a big proponent,
10 and I believe that maybe we should offer things
11 that are not regulatory content, but to enhance
12 the instructor's ability to give a class. And I
13 think when we get into that role and we give some
14 classes out there in the field, there is kind of
15 a learning curve, and it's always good to come
16 back and learn some new teaching techniques, not
17 necessarily regulatory content. So there's
18 definitely a lot of work for this group to carry
19 on. We can dissect it into different spots, but
20 I definitely support the continuation of this
21 subgroup.

22 MR. STAFFORD: Okay. You know, on the

1 supervisory training thing, CPWR hooked up with
2 McGraw-Hill, and some of you may have seen that
3 report. The findings came out in July on best
4 practices, contractor best practices in safety
5 and health. I was -- "amazed" is a little
6 strong. I was surprised to see that contractors
7 in our industry, I think it was 85 percent of the
8 contractors in our industry, the respondents to
9 that survey, identified that OSHA 30 is their
10 primary supervisory safety and health training
11 program. And there's nothing wrong with the OSHA
12 30, but I think one project that we're working on
13 and hope that we can proceed -- and, Walter, I
14 think that's what you're getting at -- is the
15 development of a module or module for new foreman
16 or front-line supervisors that would teach them
17 about safety and health and the responsibility.

18 You come out of the hall one day, and you
19 become a foreman that day. And you have now a
20 responsibility for safety and health, and you
21 have really no training to help you identify, for
22 example, how you put on good toolbox talks or

1 communications or those kinds of things.

2 So I think if employers are relying on
3 the OSHA 30 for the supervisory training program,
4 I think that we have a gap there, and we could do
5 a lot of good on enhancing that program for these
6 new frontline folks.

7 MR. RIVERA: May I say this? The OSHA
8 transportation distribution partnership has
9 generated a supervisory level, like a 10-hour
10 course, which is obviously not approved by OTI,
11 because it's leadership focused. That might be a
12 great resource to take a look at as a beginning
13 if we want to go down that route. They have been
14 very successful with it. They have a history and
15 a pattern behind it of the successes, but they
16 have been unsuccessful in trying to get OTI to
17 adopt it.

18 So it might be a foundation to build
19 upon. I mean, I'm pretty sure there's other
20 resources out there that we can use, but if we
21 want to go down that route, that is definitely
22 once place that I would start.

1 MR. STAFFORD: Okay.

2 MR. MADDUX: I think that's really an
3 interesting area. Everybody that knows me knows
4 that I have a real interest in leadership issues
5 anyway, and I think that Dr. Payne, who
6 unfortunately was not able to join us today,
7 really talks about one of the things with the 10
8 and 30 are a course. The courses seem to mean a
9 lot of different things to a lot of different
10 people.

11 And I'm not sure that we really know what
12 all those different angles are, but one of the
13 things that we do know I think pretty clearly is
14 that there are people who believe that the
15 10-hour is a worker course, and the 30-hour is a
16 supervisor course. So the McGraw-Hill study that
17 you're talking about kind of reinforces --

18 MR. STAFFORD: Reinforces that.

19 MR. MADDUX: -- that notion that at least
20 we know that much, that there's that perception.

21 MR. STAFFORD: Well, you know, even by
22 law. In Rod's state, the state law requires

1 workers to have the 10 and supervisors to have
2 the 30, so there's a reason.

3 MR. MADDUX: That's right. Really, the
4 30 is just 20 more hours of awareness content.

5 MR. STAFFORD: That's right.

6 MR. GILLEN: Perception is reality.

7 MR. MADDUX: Yes, exactly. It is
8 perception versus reality.

9 MR. STAFFORD: Then the other work group
10 -- and I talked to Chuck a little bit about this,
11 and Steve Hawkins is the backing operations work
12 group. You had mentioned that in your
13 presentation, and Chuck and Steve have done a
14 great job over the years. You have a nice
15 website up and running, so the question becomes
16 for that work group and for you, Jim, what can
17 ACCSH continue to do to advise or to assist on
18 backing operations at this point?

19 MR. MADDUX: I think that's one that we
20 need to take a close look at, and I want to have
21 -- Paul and I have -- Paul Bolon and I have
22 talked a little bit about this issue and kind of

1 see where we're at.

2 We think that this is probably a viable
3 candidate for OSHA rulemaking. We kept it on the
4 regulatory agenda, because we believe that, and
5 it is probably -- we need to think about kind of
6 what the next step is for the committee.

7 We are doing kind of background work
8 right now, so that we know enough about the
9 problem, so that we can at least get enough of an
10 economic analysis to think about a small business
11 panel as maybe the next step in the process.

12 But we do need to think about, okay, what
13 is the next activity that it makes sense for
14 ACCSH to do, and it may be that that is a work
15 group that we will decide to sunset maybe for a
16 while.

17 MR. STAFFORD: Okay.

18 Yeah. Please, Don.

19 MR. PRATT: Mr. Chairman, I do apologize.
20 I am going to have to leave to catch an airplane,
21 but I do want to get my 2 cents in on the work
22 groups.

1 I think the work groups are a very
2 important part of ACCSH, and I'm probably one of
3 the newest members on this committee, but I will
4 tell you that in my opinion, they have a great
5 deal of value.

6 It doesn't have to be these particular
7 five. It might be another five or another six or
8 three. My point is, though, that we need to
9 figure out a way -- and I understand the budget
10 is tight. I get that, okay? For someone that's
11 been in the construction industry for most of my
12 life, I understand budgets very well. We really
13 need -- if they are going to be a viable part of
14 ACCSH, we need to figure out a way to have
15 face-to-face meetings on those work groups.

16 Coming in the morning of works very
17 nicely and then have the ACCSH meeting like we
18 used to in the afternoon. Maybe having one day
19 for work groups and one day for the ACCSH
20 meeting, have everything in one day might work.
21 These are all things that we need to think about,
22 Jim, and try to develop something that is going

1 to work, because face-to-face is really a very
2 beneficial way to run the work groups. You need
3 to be here, talk to each other, and communicate,
4 and I think that's going to be very important.

5 With that, I will leave all of you and
6 wish you all a very Happy Holiday and a Merry
7 Christmas, Happy Chanukah, whatever, but I do
8 appreciate the time that you all have spent on
9 the different issues that I have witnessed.

10 And, Jim, I respect your position. I
11 think you do a great job, and we need to even
12 make that closer, and I think we will through the
13 years.

14 So I look forward to next year, next
15 term, and with that, I will leave you. Thank you
16 very much.

17 MR. STAFFORD: Thank you, Don.

18 MR. MADDUX: I think Done actually raises
19 a very important issue. We have been doing these
20 WebEx meetings. Is this our third that we've
21 done through the WebEx? We have sort of been
22 making it work, and I think that we are learning

1 as we go along and getting a little bit better at
2 it, but especially, you know, when we get to a
3 point next year, when we've got new members
4 coming in, I think it's going to be very
5 important to try and put together a live meeting,
6 so that people at least know each other and can
7 kind of establish that background that will
8 enable some of this remote stuff to go on.

9 So it's a big issue for us.

10 MR. STAFFORD: Is there any changes in
11 funding or thinking about how --

12 MR. MADDUX: I've heard that there's some
13 kind of an important date on January the 16th
14 when our current Continuing Resolution expires,
15 and I think that that's kind of right now all we
16 know. It is really just all dependent on what
17 happens on the Hill.

18 MR. STAFFORD: Okay. We're thinking of
19 the next meeting of ACCSH in like the March-April
20 time frame. Is that --

21 MR. MADDUX: Yeah. Yeah. That would be
22 our regular normal schedule, you know, but we

1 continue to be on the sort of rocky budget
2 waters, and we just have to kind of see how it
3 goes and roll with the punches and adapt and do
4 what we can.

5 MR. STAFFORD: Okay. I appreciate that.

6 Before we -- Chuck or Steve, do you have
7 anything to add about your thoughts on continuing
8 backing operations one way or the other?

9 MR. HAWKINS: Mr. Chairman, I think we
10 could probably do some meaningful work if Paul
11 and Meghan and Jim there will kind of say this is
12 an area where we need some help and could you all
13 explore this area, because I think as Jim kind of
14 said and you said as well, that it looks like a
15 lot of the work has been done. So if we could
16 narrow our focus, I guess is what I'm trying to
17 say, if the agency would help the work group
18 narrow the focus to something that they need --
19 and it may be that we go and suspend the group
20 for a little while until they determine what that
21 is and then give us a charge and say we would
22 really like to explore this specific area, and if

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 we are able, of course, to get our budget back
2 and have face-to-face meetings, I'd certainly be
3 willing to do that. So that's kind of my
4 thoughts on it.

5 MR. STAFFORD: All right. Thanks, Steve.

6 Chuck, do you have anything to add?

7 [No audible response.]

8 MR. STAFFORD: No? Okay.

9 Then I2P2, I think we've already
10 generally agreed that we're going to -- and I
11 think Jordan kind of reiterated that that was
12 probably a pretty good idea at this point to set
13 program standard work group aside.

14 MR. MADDUX: I think we really had a nice
15 discussion with Jordan yesterday.

16 MR. STAFFORD: Yeah, I did too, and I
17 think that work group has done a lot of excellent
18 work over the last couple of years in terms of
19 taking a look at the issue. I mean, we're kind
20 of ready. This is something that's important,
21 but we'll come back to it, if we ever get to that
22 point, and I think that point is that it's still

1 at the SBREFA process, right?

2 MR. MADDUX: Yes. That would be the next
3 step in the process.

4 MR. STAFFORD: Okay.

5 How about the women in construction,
6 diversity? We have got the website up. We are
7 moving along. Again, is there things that OSHA
8 wants guidance or advice on with respect to that
9 issue?

10 MR. MADDUX: You know, I'm not sure. I
11 mean, well, it's certainly a hugely important
12 issue, and it never ends, of course. I think
13 kind of the specific work that we had on the
14 table has kind of wound up. It seems like the
15 webpage we did for the Women in Construction has
16 been very popular and that it's met a need that
17 was there. So I don't know.

18 What do you think, Laurie?

19 MS. SHADRICK: Laurie Shadrick.

20 I know we had put down like six different
21 topics for the page, and only two are published.
22 If there are some other topics or another avenue

1 to go through and including some of those topics,
2 I think we could add to that webpage.

3 MR. MADDUX: Yeah. No, I think there are
4 probably --

5 MR. GILLEN: Many.

6 MR. MADDUX: Yeah. And I think we've had
7 that page up now for what? About 6 months or so?

8 MR. STAFFORD: Yeah, that's about right.

9 MR. MADDUX: So there's probably a point
10 at some point in the future where it's worth
11 saying, okay, how did it go, what's popular,
12 what's not, what needs to be fixed. Yeah, let's
13 think about that. That might be a good project
14 for the future.

15 MR. STAFFORD: All right. So we can just
16 hold onto that and see how it goes then. Is that
17 --

18 MS. SHADRICK: Yes. I'd like to, Mr.
19 Chairman, add some more topics to that page, if
20 possible.

21 MR. STAFFORD: Okay. We will have a look
22 at that.

1 Okay. Then the last one that I was
2 thinking about and then we had this discussion a
3 bit with Jordan yesterday is this whole issue of
4 the staffing agencies, temporary employment, and
5 that we started developing that work group. I
6 know Tom -- that's an area that seems important.
7 It is obviously a very important issue for OSHA.
8 It seems like to me that that's an area that we
9 should probably continue to work on for the
10 construction industry.

11 MR. MADDUX: Yes. I think that does make
12 sense. We are just seeing continued growth in
13 temporary workers throughout all industries, but
14 in construction, and I'm not sure that people
15 really even recognize the issue that much.

16 At a minimum, I think there is probably
17 some good work to be done on kind of awareness
18 issues.

19 MR. STAFFORD: Yeah. Okay. So we ended
20 up -- we started with five, and it looks like we
21 have maybe three, three and a half to four now,
22 right, pending on what we do with backing

1 operations.

2 MR. MADDUX: Well, and I think with the
3 remote meetings that we're doing, I'm sure that
4 we'll have to do at least some remotely over the
5 next year. I think that there's an interesting
6 question of, okay, how many work groups is right,
7 should we think about.

8 I'd like to hear a conversation about,
9 okay, what kind of new work groups people think
10 we need to get into, but maybe four or three is
11 enough for now.

12 MR. STAFFORD: Mm-hmm, right.

13 Yeah. Chuck, please.

14 MR. STRIBLING: A thought strikes me on
15 -- Chuck Stribling. A thought strikes me on some
16 information that you provided to us earlier in
17 communication towers.

18 Once upon a time, that was at the
19 forefront. There were some states working on
20 state plan standards, and it sort of waned, but
21 apparently, now it's peaked again or it's up
22 again.

1 Communication towers are not going away.
2 It's always going to be there, you know. These
3 are always going to need maintenance. It's 4G
4 now. It will be 5G when? And then 6G, 7G. This
5 is always going to continue, so just food for
6 thought.

7 MR. STAFFORD: Okay. I think it is, and
8 I think your data was pretty grabbing there, Jim,
9 on that, the fatalities.

10 MR. MADDUX: Yeah. And I think you raise
11 a good point. Is it just sort of a cyclical
12 event that the next time that there's some new
13 gigantic change of generations and technology,
14 we'll just see it -- you know, that we'll get
15 through this way. Even maybe it will go back
16 down, and the next time there's a new technology,
17 we will have the same problem again.

18 MR. JONES: Walter Jones.

19 Don't you have those same issues like in
20 windmill construction?

21 MR. MADDUX: Some of them. Some of them,
22 certainly. They don't -- there's different

1 maintenance requirements, I think, between the
2 two, but certainly, the working-at-height issues
3 are very similar.

4 MR. STAFFORD: Jerry?

5 MR. RIVERA: Jerry Rivera, Employer Rep.

6 I'd like to echo Chuck's remarks on that.
7 Maybe instead of just looking at it from the
8 angle of the telecommunications, maybe
9 transportation distribution towers. I mean,
10 these are similar, and that industry has adopted
11 a lot of best practices on fall protection, on
12 those towers, and I can tell you that one of the
13 challenging aspects of that and was that a lot of
14 these towers are not designed to be climbed on,
15 at least on the transmission distribution, yet
16 they are used in fall protection. So there might
17 be something there that we can unite either
18 telecommunications, transportation distribution,
19 and start out with fall protection.

20 I hate to say this again, or maybe not,
21 the OSHA transportation distribution partnership
22 also has a lot of great resources on that, that

1 can serve as some best practices as a start.

2 MR. STAFFORD: Right.

3 MR. MADDUX: That sounds like that might
4 be a good topic. We should think about that one
5 for sure.

6 MR. STAFFORD: And my favorite, as you
7 know, Jim, the surveillance issue. I keep coming
8 back to enforcement and how OSHA targets
9 construction inspections. It's not necessarily
10 that we need a new work group on that, but I'm
11 not going to give up on that issue.

12 MR. MADDUX: I think it's a very
13 important issue too, and quite honestly, if we
14 continue to go through these sort of tighter and
15 tighter resources, the way that we deploy them
16 becomes more and more important all the time.

17 MR. STAFFORD: Well, that's something for
18 us to think about.

19 MR. MADDUX: Yeah.

20 MR. STAFFORD: Walter?

21 MR. JONES: I didn't want to interrupt.

22 MR. STAFFORD: No, go ahead.

1 MR. JONES: In terms of process between
2 the work groups, I would like to throw an idea
3 out here. That when work groups meet, that the
4 entire committee be informed, or even if chairs
5 are meeting, maybe open that up. Like if OTI
6 guys are meeting, you know, that maybe we can --
7 if you open it up to all of us, maybe if it's
8 impossible for all of us to make it, it seems
9 like we all have conflicts with other real jobs,
10 I guess, but if we can participate or offer the
11 option of participating so that like a lot of
12 what we did today, we probably could have done
13 during some of those calls as some of us or a
14 part of it -- and I think -- because I -- Matt
15 and I have had meetings as well, and I think --
16 so I'm not pointing out anyone. I'm just saying
17 I think that's something that we as this
18 committee body here needs to think about.

19 If we're having a meeting, Matt and I
20 will have a meeting, and all we're thinking
21 about, oh, yeah, we got to get down on this. And
22 maybe Matt and I need to think -- or used to need

1 to think more broadly, and I throw that out to
2 everyone else, that when we're meeting, even if
3 you're going to meet, just to guide more ideals.

4 MR. STAFFORD: I appreciate that, Walter,
5 and I will take the hit on that as the chair to
6 try to do a better job to keep that coordinated
7 in this. Work always gets a little bit fuzzy
8 with me when we start talking about this and
9 getting the lawyers involved and what's a meeting
10 that we can have with not having the public
11 involved and what can we do without notifying
12 public.

13 MR. MADDUX: That's right.

14 Do you want to handle that, Lisa, or
15 would you like me to?

16 MS. WILSON: Briefly, if you have a
17 working group meeting and it is just a working
18 group meeting, you do not necessarily have to
19 involve the public. The working group can only
20 make recommendations to the full committee. The
21 full committee must really deliberate what the
22 working group did. You can't really make the

1 recommendation to the working group and then
2 rubber-stamp it in the full committee. The
3 discussion must be here.

4 MR. MADDUX: And if --

5 MR. STAFFORD: Cramp my style.

6 [Laughter.]

7 MR. MADDUX: And if everybody on the
8 committee is on a work group, then it really does
9 become a full committee meeting, in a way, and at
10 that point, we really do need to have it
11 announced in the Federal Register with some
12 advanced notice --

13 MR. JONES: Oh, really?

14 MR. MADDUX: -- and the ability for the
15 public --

16 MR. STAFFORD: So this kind of --
17 Walter's recommendation then becomes --

18 MR. MADDUX: So when we've been doing
19 these -- so there are kind of two types of work
20 group meetings.

21 The work groups that we were holding when
22 we were having live meetings where any and every

1 member that wanted to show up could, those
2 actually met all of the requirements of FACA. We
3 announced them in the Federal Register when we
4 announced the full meeting. They were open to
5 the public. That was actually one of the big
6 nice things about those kinds of work group
7 meetings is that we had lots of public
8 participation.

9 MR. STAFFORD: Right.

10 MR. MADDUX: And there was not a FACA
11 problem.

12 When it's a telephone meeting, it's kind
13 of a little different issue.

14 So one of the things that we're looking
15 at is, okay, well, maybe we should at our next
16 meeting where we're using the WebEx technology to
17 hold a remote meeting, maybe we should try and
18 have a work group meeting or two just before
19 that. Maybe we could do -- like now at this
20 meeting, we've been doing this one-to-four
21 schedule, which seems to be working pretty nicely
22 for us, and I think that it helps to avoid some

1 fatigue for the folks on the telephone, where
2 it's hard to stay engaged for a long period of
3 time.

4 Maybe we could set up a work group
5 meeting, eleven or twelve or something, using a
6 room just like this, and have it by WebEx and go
7 ahead and have a work group meeting where all of
8 the members could come, and then in other
9 situations, have work group meetings where it's
10 just a small number of members who are going to
11 work on something and bring something to the full
12 committee. So we could do both kinds of work
13 groups and still do them properly.

14 MR. STAFFORD: Okay. Well, I think
15 that's a good suggestion.

16 If we get back to that, I mean, as Don
17 said before he left, I think having the work
18 group before the full meetings -- we're all here,
19 and we can announce that, and the public can
20 engage.

21 MR. MADDUX: Yeah. Well, I think that
22 one of the things that we kind of lost with the

1 remote meetings and with the smaller work group
2 meetings is that we had a lot of work group
3 meetings when we were having them with the full
4 group or everybody who is interested, where we
5 would bring in a guest speaker, and we were able
6 to have I think a really nice sort of
7 give-and-take with some sort of an expert in a
8 certain field, where the committee members and
9 the public really were able to become much more
10 educated and much more informed on a specific
11 safety and health issue, and we haven't had a
12 chance to do that for a while.

13 We actually have two or three people that
14 we think would be good to bring in, but it just
15 hasn't really --

16 MR. STAFFORD: Worked out.

17 MR. MADDUX: -- worked in this format
18 very well. So I'd like to sort of maybe
19 experiment with that as well.

20 MR. STAFFORD: Okay. Well, I think
21 that's good.

22 Okay. Well, it sounds like we have a

1 good list of things to consider, Jim, and I will
2 follow up with you.

3 MR. MADDUX: Yeah. I think that's great,
4 and if people have any other ideas, just feel
5 free to give me a call or e-mail them in, and we
6 can get them into the mix, certainly.

7 MR. STAFFORD: Okay. Any other
8 suggestions or comments?

9 [No audible response.]

10 MR. STAFFORD: Okay. All right. Thanks,
11 Jim, and I will follow up with you.

12 MR. MADDUX: Sure.

13 MR. STAFFORD: Okay. It's time for
14 public comment. Damon, I don't know if you have
15 the list, if there is such a list.

16 MR. BONNEAU: There is a list, yes.

17 MS. WILSON: Mr. Chairman, if I may just
18 take this moment to clean out the exhibits?

19 MR. STAFFORD: Sure. Please. Thanks,
20 Lisa.

21 MS. WILSON: Thank you.

22 I'd like to designated the slides, the

1 Update on Hazard Communication, as Exhibit Number
2 5; the Slides on the Beryllium issue as Exhibit
3 6; the sheet of beryllium hazard alert at Exhibit
4 7; and Matt Gillen's presentation on Health
5 Hazard Challenges as Exhibit Number 8.

6 Thank you.

7 MR. STAFFORD: All right. Who has signed
8 up first? Keith and who? Oh, and Paul.

9 Paul? Where's Paul? There is Paul.
10 Come on up, Paul.

11 MR. MELLON: Mr. Chairman, my name is
12 Paul Mellon with Novetas Solutions. We make a
13 product called "New Age Blast Media," made from
14 crushed bottle glass. Appreciate the opportunity
15 to come here today to talk to you as well as the
16 committee. I appreciate you taking the time to
17 listen to me.

18 I came here today with some written
19 comments which I will be submitting for the
20 record. They were primarily initially directed
21 at the comments that were made to this committee
22 by David Valiante of OSHA at your last meeting.

1 I wanted to obviously here today what OSHA had to
2 say about the current rule.

3 So what I'm going to do is modulate some
4 of what I am going to say based on what I heard
5 today, what I think may have been said, because I
6 think it looks like there may be some good news
7 here and some changes that were made based on
8 what I heard.

9 One comment I would have on the
10 information that was submitted today to the
11 committee is that -- one thing I did not hear was
12 the number-one engineering control, which OSHA
13 has consistently in almost all of their abrasive
14 blasting guidelines discussed was substitution.
15 A lot of the issues that were brought forth today
16 in terms of the issues with Black Beauty coal
17 slag and copper slag concerning workers that
18 become sensitized to beryllium, that leads to
19 chronic beryllium disease, lung cancer -- a lot
20 of these issues could be solved very easily if
21 you were to substitute products, quite frankly,
22 that don't contain beryllium. And there are

1 plenty of abrasives that are used in the abrasive
2 blast industry that do not.

3 That will tie into some of my comments,
4 but that would be my biggest comment that I would
5 have on what was said today.

6 The other, it does in directly what I'm
7 going to say, which is there was no mention today
8 of a special exemption for coal slag, which I'm
9 taking as a very positive step that's been taken
10 off the table by OSHA for any type of
11 consideration. And a lot of my comments,
12 frankly, were dedicated to that comment that was
13 made back in May.

14 As we've heard today, beryllium is a
15 major issue with coal and cooper slag in the
16 abrasive blast industry, and it's good to see
17 that it's finally getting its focus.

18 I know, Mr. Chairman, you are well aware
19 of this issue, perhaps more so than others. Your
20 organization, the CPWR, of which you're Executive
21 Director, just last month in fact issued a new
22 beryllium alert. Hopefully, you guys have

1 copies. I have plenty of copies for everybody in
2 the room as well.

3 This is a groundbreaking hazard alert,
4 which I think very quickly educates a worker on
5 the true dangers of using coal and copper slag
6 abrasives, and in fact, if you were to look at
7 one of the top things it says, it kind of ties
8 into what Matt Gillen had to say today about the
9 whole health issue and how important that is,
10 because one of the big problems with beryllium is
11 when you're sensitized, you can walk around 10 to
12 15 years and have no symptoms whatsoever. So a
13 worker could be sensitized on the job, leave the
14 job, and a lot of abrasive blast workers don't
15 usually last that long in that type of job. It's
16 one of those jobs very intense, 5, 6 years, you
17 could be on the job and doing something else, and
18 you develop chronic beryllium disease, which as
19 Dr. Michaels, who is a beryllium expert, has said
20 repeatedly, mimics almost every other respiratory
21 disease in the United States. In fact, he calls
22 it the most undiagnosed disease in America.

1 Number two on the beryllium alert, which
2 I think is extremely important, is it talks about
3 what I just said. Easiest way to prevent
4 beryllium exposure in abrasive blasting is to
5 substitute products that do not contain
6 beryllium.

7 So again, I want to thank your
8 organization for putting that alert out. I know
9 Pam Susi did a lot of work on that, and it's just
10 good to see that happen.

11 I'm just going to kind of -- I know we're
12 a little short on time.

13 MR. STAFFORD: I was going to say, Paul,
14 you got 5 minutes.

15 MR. MELLON: Okay.

16 Two things I want --

17 MR. STAFFORD: Probably shorter than you
18 thought, I would imagine.

19 [Laughter.]

20 MR. MELLON: I'll blow it down pretty
21 quick.

22 Two things I want to drive home. This .1

1 percent trace amount we keep hearing about, that
2 should be just thrown out the window. The
3 reality is the MSDS's were changed 2 years ago at
4 the instigation of Dr. Michaels. Tom Galassi,
5 Director of Enforcement, sent a letter out to all
6 the coal and copper slag companies saying that
7 they need to put beryllium on the MSDS, and here
8 is his rationale. If in fact the amount of toxin
9 released into the air exceeds an OSHA PEL, it is
10 to be treated exactly as if it is over .1
11 percent.

12 So I would just hope that if anybody
13 hears the industry line of it's only got .1
14 percent and it's trace amount in coal and copper
15 slag, ignore that. That's just simply -- it's
16 immaterial.

17 The second is all blast workers are
18 protected head to toe. Obviously, that's a false
19 statement, and anybody who makes it should know
20 that, because if it was true, we'd still be using
21 sand for sand blasting.

22 The Public Citizen made a great comment I

1 think last year, which is really the point I want
2 to make today. They acknowledged the fact the
3 MSDSs were changed, which is great, but if
4 there's no enforcement, then it's really a
5 meaningless exercise. And frankly, it's been 2
6 years since the MSBS's have been changed, and
7 there's been no record of any public enforcement
8 of employers exposing their workers to coal and
9 copper slag abrasive dust and beryllium.

10 In fact, the U.S. Navy turned down Tom
11 Galassi when he asked them to test the workers at
12 the shipyards for workers exposed to the
13 beryllium dust.

14 Seventy-five percent of all the estimated
15 beryllium cases in the United States, according
16 to OSHA, are in the construction -- well, the
17 construction and maritime industry using coal and
18 copper slag abrasives, 75 percent. This
19 committee, I think should really look at this
20 issue and help influence OSHA to do the right
21 thing and start not only -- if they want to lower
22 the PEL, that's great. We're all for that, but

1 if you don't have enforcement in the field, it's
2 useless.

3 Mike Shapiro from the Daily Press wrote a
4 great article about 2 months ago at Newport News
5 Shipbuilding, and Newport News Shipbuilding is
6 the largest -- they have the largest shipyard in
7 the United States. In fact, Mid-Atlantic
8 Coatings, which was just mentioned here today by
9 OSHA, happens to be the blaster for Newport News.
10 They are the largest users of coal slag in the
11 United States.

12 By the way, guess who was asking for the
13 exemption for coal slag in the SBREFA report?
14 Mid-Atlantic Coatings.

15 The bottom line is it's great to have a
16 lower PEL, but at the end of the day, you do need
17 to have enforcement. I would encourage that if
18 you really want to protect workers that you've
19 got to have some sort of enforcement out in the
20 field.

21 Two years ago, OSHA went to Coronado,
22 California, and issued a citation to the U.S.

1 Navy. There was a beryllium site where they
2 actually machined beryllium. They went in, and
3 they did not have a beryllium prevention program,
4 and they were cited for it, not a monetary fine,
5 but they were cited you have to have one. This
6 isn't about citing employers and issuing fines.
7 It's about educating employers that they need to
8 protect their workers, and that begins frankly
9 with enforcement, because right now, it's great
10 that they changed the MSDS. It's great you are
11 talking about a lower PEL, but unless there's
12 enforcement in the field, the message is not
13 going to get out, and workers are not going to be
14 protected.

15 Thank you for your time today.

16 MR. STAFFORD: Thank you.

17 Any questions or comments? Paul?

18 Anybody? Walter?

19 MR. JONES: This is Walter.

20 You said there was a substitution. I'm
21 not -- I know you don't really want to get into
22 plugging your product, but the problem a lot of

1 times with abrasive blasting is that, you know,
2 the owner wants a certain consistency on what's
3 left of the substrate that they actually --

4 MR. MELLON: Right.

5 MR. JONES: -- are removing the paint,
6 and a lot of times, there --

7 MS. COYNE: More specific profile.

8 MR. JONES: Yeah. That's the word I am
9 looking for. They are looking for a specific
10 profile, and are there many different substitutes
11 to coal slag that's hazardous as beryllium that
12 you can probably give OSHA information on?

13 MR. MELLON: That's an excellent point,
14 and actually, you're exactly right. There are
15 plenty of substitutes. Garnet is one, and I'll
16 be up front and honest. I sell crushed glass,
17 which is frankly the exact same chemistry as coal
18 slag. They are almost identical. In fact, the
19 word "slag" is a substitute for the word "glass."
20 "Glass" is used because -- you could call it
21 "coal glass," because it's made the exact same
22 way. You are taking a coal or you're taking

1 sand, taking it to its melting point, and you are
2 creating a vitrified product.

3 So to answer your question, yes. In
4 fact, there are shipyards right now in Norfolk
5 that have switched over from using coal slag to
6 using glass because it's a safer product.

7 Now, the issue is obviously -- there's a
8 couple issues there you could look at, but one of
9 the reasons I'm selling crushed glass and we got
10 involved in this was because the same OSHA
11 studies, the same NIOSH studies, same EPA studies
12 that said coal slag contains hazardous airborne
13 pollutants also said that crushed glass would be
14 a suitable alternative, but nobody was making it.
15 And this was back in 1997.

16 So one of the things that created my
17 company, frankly, was the idea that, well, if
18 OSHA and NIOSH and EPA are saying that coal slag
19 and copper slag are toxic, but there's nothing
20 else out there like crushed glass because no one
21 is making it, I thought from a capital standpoint
22 it would be a good idea maybe we should try to

1 sell crushed glass. So that's the reason that
2 we're looking at crushed glass right now.

3 But yes, to answer your question, there's
4 absolutely other substitutes. Like I said,
5 garnet is a great substitute. Staurolite is
6 another substitute. So there's plenty of
7 products out there, but again, the industry has
8 been sold a bill of goods, I think, by the slag
9 industry that there's no other suitable
10 alternatives.

11 They have made it seem as if you can only
12 use these products. To answer your question,
13 years ago, I mean, it used to be sand blasting,
14 right?

15 MR. JONES: Right. And we got a
16 rulemaking.

17 MR. MELLON: And what happened is
18 everybody pushed back and said, "Well, coal slag
19 will never work. It will never be as good as
20 sand." That was the pushback until finally the
21 government said, "You know what, crystalline
22 silica is a toxin. We are not going to allow it

1 to be used on federal jobs." It was taken off
2 the military QPL. The military frankly is the
3 largest consumer of abrasive blasting,
4 particularly in their shipyards, and once it was
5 removed, people had to use coal slagging, and
6 guess what? They worked.

7 So a lot of this is in the eye of the
8 beholder in terms of whether or not you get the
9 proper profile, whether it works, or whether it
10 doesn't work.

11 MR. STAFFORD: Sarah?

12 MS. COYNE: Sarah Coyne, Employee Rep.

13 Just two quick questions. You said that
14 in Norfolk, they had replaced the medium that
15 they were currently using on the shipyards with
16 glass?

17 MR. MELLON: One of the shipyards, yes.

18 MS. COYNE: What shipyard?

19 MR. MELLON: Davis Boat.

20 MS. COYNE: And is that just a trial
21 basis, or has that been permanent, and how long
22 have they been using the glass and on what

1 substrate, if you don't mind?

2 MR. MELLON: Yes. It's been 2 years. I
3 think they average anywhere from 1,000, 1,500
4 tons a year. It was a complete switchover from
5 using glass.

6 In fact, this was actually detailed, and
7 I'll give you a copy of it in an article that I
8 was telling you about in Newport News. The owner
9 of the -- Frank Wagner is the owner of the
10 shipyard, and he spoke about the fact that he
11 primarily switched because the product was safer.

12 MS. COYNE: On what substrate?

13 MR. MELLON: It's used on mostly U.S.
14 Coast Guard ships.

15 MS. COYNE: External? Internal?

16 MR. MELLON: Both.

17 MS. COYNE: Thank you.

18 MR. STAFFORD: Any other questions?

19 Comments?

20 [No audible response.]

21 MR. STAFFORD: Paul, thank you again.

22 Appreciate it.

1 One last but not least comment is from
2 Keith Wrightson from Public Citizen.

3 What did you say earlier? You are an
4 individual? No.

5 [Laughter.]

6 MR. WRIGHTSON: Five minutes to go,
7 folks. I promise.

8 Jim Stafford, member of the board, good
9 afternoon. My name is Keith Wrightson. I am the
10 Worker Safety and Health Advocate at Public
11 Citizen. We are located here in Washington, D.C.

12 I just wanted to take a moment to provide
13 a brief comment on the beryllium rule. As you
14 heard the presentation from OSHA, Public Citizen
15 has been involved with the beryllium standard or
16 the proposed standard for many, many years.

17 In 2001, we petitioned OSHA to lower the
18 PEL to .2. Our petition was denied. However, in
19 2002, OSHA sent out a Request for Information on
20 the standard, and following that request in 2003,
21 Public Citizen again filed comments stating that
22 the PEL should be lowered to .2.

1 Public Citizen has been involved in
2 beryllium exposures for many years, starting back
3 then in the turn of the century there. Just last
4 year, more like 2 years ago, we discovered that
5 beryllium was not being listed on coal slag
6 manufacturer's MSDS sheets. We wrote to Thomas
7 Galassi on that, and we're happy to say that
8 every major manufacturer now lists beryllium on
9 their MSDS sheet. So it's good to have that.
10 That was not only affecting directly workers, but
11 it was also affecting consumers. These products
12 are out on the shelf in Home Depot and other
13 hardware stores, et cetera, products like Black
14 Beauty, things that are manufactured by a
15 corporation called Harsco, Patriot, NCO, et
16 cetera. These are the major manufacturers of
17 coal slag.

18 I think you all are going to be
19 deliberating the recommended PEL and take that
20 back to OSHA. I understand that's your process.
21 Right now, in the regulatory agenda, it says that
22 OSHA thinks that the PEL should be a .5. Public

1 Citizen highly encourages you to consider a PEL
2 of .2.

3 If you would like, we are willing to work
4 with you all. We have research scientists and
5 physicians on staff we can bring and bring
6 evidence as to why this would be good. It would
7 seriously affect worker safety and health,
8 particularly in the construction industry.
9 That's where this product is used the most.
10 23,000 blasters was one number that we heard
11 today. We can't forget about the people that are
12 also working around this product. So lowering
13 the PEL is definitely going to have an impact on
14 worker safety and health, particularly in the
15 construction industry.

16 That's all

17 MR. STAFFORD: Thanks, Keith.

18 Any questions or comments for Keith,
19 anyone?

20 [No audible response.]

21 MR. STAFFORD: Thanks, Keith. Good
22 seeing you.

1 MR. WRIGHTSON: Very good. All right.

2 MR. STAFFORD: All right. Right on time.

3 That's excellent.

4 Any other questions or comments from
5 anyone on the committee?

6 Jim, anything in closing?

7 MR. MADDUX: No.

8 MR. STAFFORD: Good.

9 Well, first, I'd like to thank you and
10 Damon and Dean and Lisa and the rest of the OSHA
11 staff for doing your great work. We appreciate
12 it, and I wish the committee and all of you here
13 happy holidays, and hopefully, we'll see you in
14 March 2014.

15 Meeting adjourned. Thank you.

16 [Whereupon, at 4 p.m., the ACCSH meeting
17 was adjourned.]

18

19

20

21

22